

WEBVTT

1

00:00:00.040 --> 00:00:03.850

Mikaela Miller: So, I'm... Oh, thanks, Stephanie.

2

00:00:04.470 --> 00:00:06.629

Mikaela Miller: I'm going to...

3

00:00:06.660 --> 00:00:25.410

Mikaela Miller: go ahead and move into the roll call and introduction. So, this is how we've always done it in the past. We just ask that everyone, gives their name, organization, role in a physical description. We do this physical description just to help give yourself

4

00:00:25.950 --> 00:00:28.390

Mikaela Miller: A little bit more of a...

5

00:00:28.710 --> 00:00:47.700

Mikaela Miller: idea for those of us who are, or anyone who's joining who may be visually impaired, you can share whatever you're comfortable sharing, and I'm going to pass it off to Shelly here to just give a quick introduction to you all, and then we'll let Jen popcorn it around.

6

00:00:48.430 --> 00:01:05.539

Shelly Eagen: Great. Well, thanks everybody for joining. My name is Shelly Egan. I am a pediatric nurse practitioner, and I'm the chair of the committee. I am a Caucasian female with brown hair, I have a blurred background, and I have a gray top on today.

7

00:01:06.980 --> 00:01:09.180

Jennifer Banna: Good morning, everyone. I'm Jen Biana.

8

00:01:09.290 --> 00:01:14.779

Jennifer Banna: I am the Director for Montana's Family to Family Health Information Center.

9

00:01:14.900 --> 00:01:26.840

Jennifer Banna: And I'm the co-chair. I have brownish-blond hair with some blue in it, and I'm wearing a blue sweater today, because blue is

my favorite color.

10

00:01:26.930 --> 00:01:34.929

Jennifer Banna: I'm gonna go ahead and... let's see, are we just introducing voting members, or are we doing all voting and non-voting?

11

00:01:34.930 --> 00:01:39.919

Mikaela Miller: We do just the voting and non-voting, no one from the public.

12

00:01:39.920 --> 00:01:45.399

Jennifer Banna: Perfect, just wanted to make sure before I got started. I'm gonna go ahead and send it over to Abe, then.

13

00:01:46.950 --> 00:02:01.829

Abe Elias: Thanks, Jen. Thanks, Jen. Yeah, I'm A.B. Lias, I'm at Shodel Children's Hospital, I'm the Chief Medical Officer. I'm a middle-aged white male with black-gray hair, and I'm wearing a white shirt and a gray sweater today.

14

00:02:03.280 --> 00:02:08.360

Abe Elias: Thanks, Ava. Yeah, thank you. I'm gonna hand it over to Jeannie.

15

00:02:08.820 --> 00:02:10.349

Abe Elias: How are you doing?

16

00:02:11.770 --> 00:02:24.519

Jeanne Lee: Hi, I'm Jeannie Lee. I supervise newborn screening and serology at the Montana Public Health Laboratory. I'm a non-voting member on this committee.

17

00:02:24.620 --> 00:02:42.409

Jeanne Lee: Representing the Public Health Lab. I'm a middle-aged woman. I have, collarbone-length brown hair, and today I'm wearing a light pink top with a light gray cardigan.

18

00:02:48.200 --> 00:02:50.380

Jennifer Banna: Okay, we can send it over to Shaanalia.

19

00:02:54.200 --> 00:03:03.549

Shawnalea Chief Goes Out, DPHHS: Hello, Shawna Lee at Chiefs goes out. I am the Perinatal Health Program Officer within the Health Resources Division. I am here representing Medicaid.

20

00:03:04.940 --> 00:03:18.079

Shawnalea Chief Goes Out, DPHHS: Again, this will be my last meeting as I am transitioning out of my role. My physical description is I'm Native American, so brown, black hair, I currently have a headset on, a jean jacket, and a black shirt.

21

00:03:19.870 --> 00:03:21.180

Jennifer Banna: Okay, Steven?

22

00:03:22.310 --> 00:03:31.090

Steven Shapero: Yes, my name is Steven Shapiro. I'm a member of the committee, and my role is to represent families, parents.

23

00:03:31.420 --> 00:03:35.050

Steven Shapero: Who are affected by, newborn screening.

24

00:03:35.410 --> 00:03:41.540

Steven Shapero: I am a white male, I'm 71 years old, I'm wearing a hat and a gray flannel shirt.

25

00:03:43.400 --> 00:03:45.280

Jennifer Banna: Okay, Lynn?

26

00:03:49.390 --> 00:04:07.799

Lynne Wood: Hi, I'm Lynn Wood. I am a pediatric neurologist in Billings. I'm here to serve as a physician on the committee. I am a middle-aged Caucasian woman with long, dark brown hair. I'm wearing a blue floral blouse and a baggy tan sweater.

27

00:04:07.800 --> 00:04:14.409

Lynne Wood: And I had my camera off at the beginning, because I was eating my breakfast, and I care about you guys, and didn't want to make you watch me scarf my food, but all done now.

28

00:04:15.930 --> 00:04:17.829

Jennifer Banna: Thanks, Lynn. Miranda?

29

00:04:19.970 --> 00:04:39.559

Miranda Reddig: Yeah, hi everybody, my name is Miranda Reddick. I'm the Newborn Hearing Screening Coordinator with the State of Montana. I'm a non-voting member, of this committee. Let's see, I am a 31-year-old female, I have brownish blonde hair, it's very long, and I am wearing a gray shirt today.

30

00:04:40.840 --> 00:04:42.070

Jennifer Banna: Thank you, Nikki.

31

00:04:44.530 --> 00:04:54.900

Nikki Goosen: Hi, my name is Nikki Gosin. I am with the Montana Public Health Laboratory. I do the short-term follow-up for the blood spot screening.

32

00:04:55.070 --> 00:05:04.869

Nikki Goosen: Today, I... well, I'm a Caucasian female, I'm wearing a black long-sleeve shirt, and I've got my brown hair pulled up into a bum.

33

00:05:07.120 --> 00:05:09.100

Jennifer Banna: Thank you, Jacqueline.

34

00:05:13.540 --> 00:05:28.969

Jacqueline Isaly: I am Jacqueline Isley, I'm the Family and Community Health Bureau Chief at DPHHS. I am a Caucasian female, blonde hair, and blue eyes, and I'm wearing a sort of burgundy top.

35

00:05:29.890 --> 00:05:33.490

Jennifer Banna: Thank you. Rosala, who's our newest member.

36

00:05:41.880 --> 00:05:44.120

Jennifer Banna: Rosella, can you hear us okay?

37

00:05:49.820 --> 00:05:52.110

Jennifer Banna: Okay, we'll come back to her. Cody?

38

00:05:58.630 --> 00:06:00.320

Jennifer Banna: I think you're muted, Cody.

39

00:06:00.560 --> 00:06:09.390

Dunmire, Kotie C.: Oh, hi. Sorry, I'm Cody, I am 40 years old, I'm Caucasian, I have blonde hair, and I'm wearing a white t-shirt today.

40

00:06:10.980 --> 00:06:12.430

Dunmire, Kotie C.: Thank you. Thank you.

41

00:06:12.430 --> 00:06:15.579

Jennifer Banna: Did we miss any... one besides...

42

00:06:16.300 --> 00:06:19.199

Jennifer Banna: Rosala, who's a voting... non-voting member... oh, Debbie!

43

00:06:19.450 --> 00:06:20.300

Debbie Gibson: Yeah, go ahead.

44

00:06:20.300 --> 00:06:23.409

Jennifer Banna: There you go, and I see pictures, it makes it... I'm like, I know!

45

00:06:23.760 --> 00:06:41.289

Debbie Gibson: I joined a little late, sorry about that. I'm Debbie Gibson, I'm the Laboratory Services Bureau Chief, so with the Montana Public Health Lab. I've got, dark blonde hair and blue eyes, Caucasian female, and wearing a flowered blue shirt.

46

00:06:42.900 --> 00:06:43.880

Jennifer Banna: Thank you.

47

00:06:44.170 --> 00:06:45.999

Jennifer Banna: Rosella, are you there now?

48

00:06:49.310 --> 00:06:57.959

Mikaela Miller: I will send her an email just to make sure she's not having any technical difficulties. Okay. And I think... did...

49

00:06:58.140 --> 00:07:02.759

Mikaela Miller: We have a few more Miranda, Amber, or Kayla to go yet.

50

00:07:02.760 --> 00:07:04.989

Jennifer Banna: Oh, Amber didn't go, I saw her, and then she disappeared.

51

00:07:04.990 --> 00:07:05.790

Mikaela Miller: Perfect.

52

00:07:05.790 --> 00:07:06.720

Jennifer Banna: Hey, Amber?

53

00:07:06.940 --> 00:07:20.450

Amber Bell: Hi, I am Amber Bell. I am a non-voting member. I am the Children's Special Health Services Section Supervisor, and I am a middle-aged woman,

54

00:07:21.270 --> 00:07:26.520

Amber Bell: With long, dark, straight brown hair, and...

55

00:07:26.730 --> 00:07:29.660

Amber Bell: A blue sweater on today.

56

00:07:31.010 --> 00:07:31.839

Jennifer Banna: Thank you.

57

00:07:34.080 --> 00:07:35.609

Jennifer Banna: And if we missed anybody else.

58

00:07:35.880 --> 00:07:37.419

Jennifer Banna: You'll have to help me out with that one.

59

00:07:41.090 --> 00:07:43.150

Jennifer Banna: As I can see, we have professionals.

60

00:07:43.150 --> 00:07:44.280

Amber Bell: Did you go, Kayla?

61

00:07:44.630 --> 00:07:59.930

Kayla Cummins: So, I'm Kayla Cummins, I am the Admin 3 Assistant at the Early Childhood and Family Support Division. I am 38, I am multi-race, so I have tan skin, and I am wearing a yellow shirt, and I have curly brown hair.

62

00:08:07.750 --> 00:08:08.840

Mikaela Miller: Okay.

63

00:08:09.210 --> 00:08:14.580

Mikaela Miller: Trying to think, Kathy, I'm not sure if you had a chance to go.

64

00:08:14.790 --> 00:08:25.160

Mikaela Miller: We're just doing introductions, roll call, so on the screen you can just do name, organization, role, and then brief physical description, whatever you're comfortable sharing.

65

00:08:25.160 --> 00:08:25.760

kathy Crowley: Okay.

66

00:08:26.130 --> 00:08:45.000

kathy Crowley: I'm Kathy Crowley. I'm the birth center representative for the team. I work out of Roots Midwifery and Origin Health in Missoula. I have... wear glasses, I have dirty blonde hair that's up in a bun today.

67

00:08:45.110 --> 00:08:49.610

kathy Crowley: I am, I guess middle-aged.

68

00:08:49.860 --> 00:08:54.779

kathy Crowley: white female, and I'm wearing a print shirt.

69

00:08:58.930 --> 00:09:03.089

Mikaela Miller: Perfect. Okay. I think maybe now we have everyone.

70

00:09:03.580 --> 00:09:09.630

Mikaela Miller: Other than Stephanie and I, so I'll go really quick. My name's Michaela, I work for

71

00:09:09.630 --> 00:09:28.719

Mikaela Miller: Yarrow, a public health contractor, and I'm here to facilitate the meeting today. Physical description, I'm wearing a burgundy sweater, I have white skin. Behind me, there is some bright sunlight today, and so, my camera's a little bit blurry. And then with me here today is Stephanie.

72

00:09:29.030 --> 00:09:38.499

Mikaela Miller: She also works for Yarrow, and she's going to be doing some of the Zoom, running the Zoom and taking notes for us, and I'll let you give a description, Stephanie.

73

00:09:38.780 --> 00:09:47.419

Stephanie Burkholder: Yeah, thanks. Hi, everybody. I'm Stephanie Burkholder. I am a Caucasian female with brown and gray hair.

74

00:09:47.530 --> 00:09:58.110

Stephanie Burkholder: And I have a blue shirt on, and I did put my email address in the chat. If you're having any technical difficulties, go ahead and just email me directly, and we'll help you get sorted.

75

00:10:02.290 --> 00:10:03.410

Mikaela Miller: Alright.

76

00:10:07.140 --> 00:10:10.509

Mikaela Miller: Jen, if you want to go ahead and read our acknowledgement...

77

00:10:12.360 --> 00:10:19.900

Jennifer Banna: So we want to thank the families, caregivers, and committee members and advocates for their contributions to the Montana Newborn Screening Program.

78

00:10:19.900 --> 00:10:38.719

Jennifer Banna: We recognize that each condition reviewed affects children and families in Montana, and we strive to balance the emotions and vulnerabilities shared with the need for careful, sometimes difficult discussions on logistics and finances. Our goal to ensure that... our goal is to ensure the process is publicly accessible, transparent, and carefully examined.

79

00:10:38.720 --> 00:10:39.550

Jennifer Banna: Thank you.

80

00:10:43.020 --> 00:10:44.119

Mikaela Miller: Thanks, Jen.

81

00:10:46.390 --> 00:11:03.499

Mikaela Miller: And I'm just gonna start off today with just a quick acronym, so you're going to be seeing this a lot today. Normally, we do try to avoid acronyms, but this is one that I think we'll be seeing quite a bit. So, metachromatic Leukodystrophy, MLD,

82

00:11:05.790 --> 00:11:28.370

Mikaela Miller: And the agenda for today, so, as you've seen, we've done kind of the welcome and roll call. We just have a few brief updates for everyone. We're going to review the MLD nomination packet. We'll have just, like, a short 15 minutes or so to review, or to have, to give our committee a chance to discuss that packet, if there's anything that stood out to them in any of the responses.

83

00:11:28.690 --> 00:11:31.919

Mikaela Miller: Then we're going to have the family presentation.

84

00:11:32.310 --> 00:11:40.399

Mikaela Miller: Then we'll take a short 10-minute break, kind of right in the middle of the meeting there, and then we'll have our SME present for us.

85

00:11:40.460 --> 00:11:43.080

Mikaela Miller: The state lab is going to present.

86

00:11:43.080 --> 00:12:01.239

Mikaela Miller: And then we'll have a longer chunk of discussion time there for you to... the committee members to go over anything that came up during those presentations. They're also welcome to ask, I believe, our SME, and then we'll have, of course, Jeannie and Nikki on as well, if you would like to ask them any questions.

87

00:12:01.420 --> 00:12:15.920

Mikaela Miller: And then we're gonna roll into a 10-minute public comment period. I'll kind of go over how that works here in just a moment, and then we're going to wrap it up and go over, what our next meeting's going to look like.

88

00:12:16.380 --> 00:12:20.510

Mikaela Miller: And hopefully we'll be able to close it off around noon.

89

00:12:23.930 --> 00:12:26.690

Mikaela Miller: Alright, so public comment period.

90

00:12:29.790 --> 00:12:47.470

Mikaela Miller: Just so that you can prepare any comments you have, this is how we're going to run that. It is reserved just for members of the public, not our committee members. As I showed earlier, committee members can make any comments or ask any questions during that discussion period.

91

00:12:47.470 --> 00:13:01.389

Mikaela Miller: When we reach the public comment period, if anyone from the public would like to make any statements, please use your raise hand feature in your Zoom pop-up bar, or, if you're calling in, that's star 9.

92

00:13:01.580 --> 00:13:06.429

Mikaela Miller: And I will call your name, you can unmute yourself and share your comment.

93

00:13:06.660 --> 00:13:28.109

Mikaela Miller: Just so that we can give everyone a chance to comment, since this is only a 10-minute period, everyone will have 2 minutes maximum, for their comments, so we'll have Stephanie with a little timer running, and she'll put in the chat a little warning once you hit that minute, 45-second mark, and then at 2 minutes, I'll start

94

00:13:28.110 --> 00:13:34.180

Mikaela Miller: Asking you to kind of wrap it up, so that we can go on to the next public comment.

95

00:13:34.550 --> 00:13:47.589

Mikaela Miller: So, if you have any questions or additional comments as well, if you did not get a chance to share those during the public comment period, we do take those to our email up to 1 hour after the meeting ends.

96

00:13:50.890 --> 00:14:08.129

Jennifer Banna: Michaela, this is Jen. Now that we've talked about the subject matter expert and the family being here, I know that they're not all going to go at once, but because we can see their faces, would it be okay to have them introduce themselves, just so we can kind of see who else is in the room that's going to be presenting to us today? Just a quick introduction?

97

00:14:08.390 --> 00:14:14.429

Mikaela Miller: Yeah, and I actually don't think I see the SME or the family presenters on here quite yet.

98

00:14:14.430 --> 00:14:23.990

Jennifer Banna: Okay, that's fine then. I was just thinking... I was just thinking that we... I wanted to welcome them, and let them know that we're glad that they're here, and... but I wasn't sure who they were, so okay, thank you.

99

00:14:23.990 --> 00:14:36.500

Mikaela Miller: Yeah, no, thank you for that. I will, point that out once we see them hop on. We... they're a little crunched for time, so we did give them more of that specific window they'll be presenting in, but I'll keep an eye out for that.

100

00:14:38.050 --> 00:14:39.270

Mikaela Miller: All right.

101

00:14:39.900 --> 00:14:56.759

Mikaela Miller: Just some quick ground rules here. We've just set these up so we can facilitate, you know, the most effective and respectful meeting that we can. So when you're not talking, just please mute your microphone. These are all pretty straightforward, just...

102

00:14:56.760 --> 00:15:06.089

Mikaela Miller: Have your video on if you can, unless there's distractions in your background. There will be the chat active during the meeting for the committee members.

103

00:15:06.090 --> 00:15:24.670

Mikaela Miller: Ask clarifying questions if you don't understand something. Of course, we just try to avoid talking over each other or

interrupting other speakers. Try to avoid acronyms when you're speaking if you can. Just remember to focus on our collective interests and goals here today.

104

00:15:24.720 --> 00:15:43.569

Mikaela Miller: Any next steps or action items, we will try to assign those to a specific individual, just to help ensure accountability and keep things rolling with the committee. And then just to ensure equity and voice of engagement, we may call on specific attendees for some more input.

105

00:15:44.160 --> 00:15:48.169

Mikaela Miller: And I just want to highlight that this meeting is a safe space.

106

00:15:48.190 --> 00:16:06.690

Mikaela Miller: And, we're just here to guide the determination of screening for newborn conditions in the state of Montana. If you don't feel comfortable sharing in this meeting space, please let me or Stephanie know, and we can communicate with you in another way. So if it's private chat or email, we're happy to do that as well.

107

00:16:14.860 --> 00:16:26.880

Mikaela Miller: Okay, just some short updates here. We wanted to update everyone on Pompeii, so we are currently screening now for Pompeii in the state of Montana.

108

00:16:26.880 --> 00:16:34.899

Mikaela Miller: Thank you to our voting members and internal committee who are a part of that. Screening for that condition began on January 15th.

109

00:16:34.900 --> 00:16:48.000

Mikaela Miller: This caused the panel to go up \$11, and so we are up to now \$161.80, and I believe that's the current price if... I know some committee members like to know that as we go into these conversations.

110

00:16:48.200 --> 00:17:01.359

Mikaela Miller: A brief ASMD update. So, this is... this condition is in the rulemaking process currently. The state is working with Wisconsin to determine when screening may begin in Montana.

111

00:17:02.260 --> 00:17:20.060

Mikaela Miller: And then I just wanted to point out briefly, we do have a new committee member, Rose Lapine. She's a family nurse practitioner, and she worked in the Blackfeet Tribal Public Health, and she will be holding position on the committee as the representative of a tribal healthcare system.

112

00:17:20.109 --> 00:17:26.959

Mikaela Miller: And I know... Rose, hi! If you want to introduce yourself, I know you didn't have a chance to earlier.

113

00:17:30.020 --> 00:17:41.289

Rosaula Nympha La PINE: Okay, good, good morning to everybody. I'm Rosa Pine. I'm American, Filipino, by, citizenship.

114

00:17:41.440 --> 00:17:50.860

Rosaula Nympha La PINE: Actually, currently, I am here in the Philippines. It is now... 11... In the evening,

115

00:17:51.850 --> 00:18:07.560

Rosaula Nympha La PINE: all of you are probably wearing some kind of warm clothing. I'm wearing something warm. It's really very hot in here, very humid. But overall, I'm happy to be a part of the committee, and thank you for welcoming me.

116

00:18:10.130 --> 00:18:17.019

Mikaela Miller: Thanks, Rose. We're happy to have you here, even though, you know, time zones were a little bit tricky, so thank you for being here.

117

00:18:20.140 --> 00:18:21.850

Mikaela Miller: Alright.

118

00:18:23.390 --> 00:18:30.640

Mikaela Miller: So here's just a super brief introduction to the MLD nomination packet that we received.

119

00:18:30.640 --> 00:18:44.969

Mikaela Miller: Please note, this is just a really quick, broad overview of some of the items from the packet, but later in the meeting, we'll be hearing a lot more from our subject matter expert and the lab presentations, and then, of course, the family

presentation as well.

120

00:18:49.980 --> 00:18:57.150

Mikaela Miller: So, MLD is typically grouped into 3 different types, and those depend on the age of onset.

121

00:18:57.150 --> 00:19:12.980

Mikaela Miller: So there's the late infantile form appearing between about 6 months and 2 years. It's the most common and most severe, with early signs including loss of motor skills, muscle weakness, difficulty walking, followed by neurological decline.

122

00:19:12.980 --> 00:19:18.460

Mikaela Miller: There's the juvenile form, begins roughly ages 3 and 16.

123

00:19:18.500 --> 00:19:27.439

Mikaela Miller: And often starts with behavioral or learning difficulties, along with clumsiness, then progresses to motor impairment.

124

00:19:30.290 --> 00:19:49.139

Mikaela Miller: Then there's the adult form, which is the rarest and slowest form. It can emerge in the late teens or even later, and it's often first marked by psychiatric symptoms such as depression, personality changes, cognitive or cognitive decline before physical symptoms begin to develop.

125

00:19:49.260 --> 00:19:54.089

Mikaela Miller: And then, across all types, symptoms generally worsen over time.

126

00:19:58.680 --> 00:20:08.449

Mikaela Miller: So, how is this disorder currently identified? It's identified between a combination of clinical evaluation, lab testing, and imaging.

127

00:20:08.840 --> 00:20:20.570

Mikaela Miller: We asked, why should it be screened at birth? And the response was, early detection and management can help mitigate some of the serious health risks and improve quality of life.

128

00:20:20.850 --> 00:20:32.370

Mikaela Miller: How is this disorder treated? So it's hematopoietic stem cell HSC gene therapy. This is an FDA-approved treatment for the early onset form.

129

00:20:32.960 --> 00:20:40.539

Mikaela Miller: Is the treatment in the experimental phase? No. And then the proposed screening test method is the dried blood spot?

130

00:20:46.350 --> 00:21:00.790

Mikaela Miller: And then here, we asked some questions about the states that are currently screening the condition. It looks like there's for sure an active state one, which is in New York. The condition has been reviewed by the RESP.

131

00:21:00.790 --> 00:21:16.150

Mikaela Miller: And then, registries or databases currently established for the condition, at least two. There's a clinician-slash-research-led international registry called MLDI, and there's also a patient-powered registry.

132

00:21:21.060 --> 00:21:26.270

Mikaela Miller: Next, we have some questions regarding the selection criteria.

133

00:21:26.770 --> 00:21:37.989

Mikaela Miller: The first question is, can it be identified at a period of time within 24 to 48 hours after birth, at which it would not ordinarily be clinically detected? That's true.

134

00:21:38.030 --> 00:21:53.070

Mikaela Miller: A test with appropriate sensitivity and specificity is available. That's true. There is a significant risk of illness, disability, or death if babies are not treated promptly, within the recommended time frame for the condition. That's true.

135

00:21:53.110 --> 00:22:06.859

Mikaela Miller: Effective treatment is available, and access to follow-up care and counseling is generally available. True. There are demonstrated benefits of early detection, timely intervention, and efficacious treatment. That's true.

136

00:22:06.960 --> 00:22:08.900

Mikaela Miller: The benefits to babies?

137

00:22:09.330 --> 00:22:15.219

Mikaela Miller: And to society outweigh the risks and burdens of screening and treatment. That's true.

138

00:22:17.890 --> 00:22:37.250

Mikaela Miller: Selection criteria 7, we ask, there are minimal financial impacts on the family. Those responded as true. There is a public health benefit to conducting the test. True. There exist responsible parties who will follow up with families and implement necessary interventions.

139

00:22:37.380 --> 00:22:49.240

Mikaela Miller: Who's responded true. And the last one is the conditions, case definition, and spectrum are well described, which we also received a true response for.

140

00:22:52.140 --> 00:23:11.260

Mikaela Miller: Okay, so I'm happy to leave these slides up for the selection criteria, or if the committee members need me to go back to any other pieces of that overview packet, but we do have, like, 15 minutes or so reserved if anyone has any questions about the packet, or any of those selection criteria.

141

00:23:16.950 --> 00:23:21.390

Shelly Eagen: So we'll go ahead and open it up for discussion with the committee members.

142

00:23:35.110 --> 00:23:39.159

kathy Crowley: What is the incidence in the population of this occurring?

143

00:23:43.510 --> 00:23:56.209

Mikaela Miller: I believe our SME will give that later on in the presentation. I did not see that in the overview packet piece that we had, but we will dive into that a bit later.

144

00:24:03.170 --> 00:24:17.550

Shelly Eagen: I have several questions, but not really related

directly to the packet, and so I think I will hold off on the questions that I have to see if it's answered by the SME or the family, before bringing those up, because I would imagine there'll be

145

00:24:17.630 --> 00:24:23.219

Shelly Eagen: answered at a later time. Does anybody have anything else directly related to the packet?

146

00:24:23.400 --> 00:24:27.119

Jennifer Banna: I have a semi-related to the packet question, but we could also

147

00:24:27.210 --> 00:24:44.660

Jennifer Banna: talk about it later if nobody knows the answer, but we've been talking about the RESP committee and the RESP and all these changes that we've had at the federal level in terms of recommendations, and so the RESP committee, if I understand correctly, doesn't actually... that committee that was reviewing the conditions doesn't actually exist anymore. Is that...

148

00:24:45.060 --> 00:24:45.570

Shelly Eagen: Correct.

149

00:24:45.920 --> 00:24:51.790

Jennifer Banna: But then this... but then this has been added to the RUSP by,

150

00:24:52.430 --> 00:25:03.020

Jennifer Banna: by the Health and Human Services. Okay, I just needed to make sure, because we've been trying to track that, what's going on nationally with the resp and the newborn stuff. So for a minute there, I was like, wait a minute...

151

00:25:03.020 --> 00:25:07.649

Shelly Eagen: Yeah, it was added in December, by the Secretary, so, yeah.

152

00:25:07.650 --> 00:25:10.290

Jennifer Banna: Thank you. That's... that's what I was getting at. Thank you.

153

00:25:19.040 --> 00:25:26.440

Mikaela Miller: Okay. Are there any other questions specific to the packet, or any of those brief pieces I reviewed for right now?

154

00:25:31.020 --> 00:25:32.580

Mikaela Miller: Okay. Yeah.

155

00:25:33.520 --> 00:25:38.620

Shelly Eagen: I saw Kathy's mouth moving. I wasn't sure if she had a question or if she was talking to somebody. Okay.

156

00:25:38.620 --> 00:25:39.220

Mikaela Miller: Okay.

157

00:25:40.150 --> 00:25:47.060

Mikaela Miller: Well, I did see Sarah and Cora, our family presenters, are here, so let me switch...

158

00:25:47.470 --> 00:25:57.739

Mikaela Miller: Presentations. Sarah, I saw your email. I'm happy to share your slides for you, or if you'd like to share those, that's okay too. Do you have a preference for right now?

159

00:25:58.000 --> 00:26:00.349

Sarah Cornell: I think it would be easier if I share them.

160

00:26:00.350 --> 00:26:01.030

Mikaela Miller: Okay.

161

00:26:05.070 --> 00:26:11.900

Mikaela Miller: I think once you go to share them, it will have you ask for permission briefly, and then Stephanie can approve that.

162

00:26:12.620 --> 00:26:15.069

Shelly Eagen: Do you want me to go ahead and start with the introduction?

163

00:26:15.310 --> 00:26:19.590

Mikaela Miller: Yeah, Shelly, if you could go ahead and introduce the two of them before they get started.

164

00:26:19.590 --> 00:26:27.150

Shelly Eagen: Great. So joining us today, thank you for joining us and welcome. We have Sarah Cornell and her daughter Cora Cornell.

165

00:26:27.150 --> 00:26:42.750

Shelly Eagen: They will share their family's experience with metachromatic leukodystrophy. Cora is a 12-year-old survivor of MLD, and together they will offer their perspectives on the diagnosis, treatment, and the impact on children and families.

166

00:26:46.310 --> 00:26:50.459

Sarah Cornell: Before we get started, can you see my screen?

167

00:26:51.750 --> 00:26:54.010

Sarah Cornell: Yes. Yeah. Okay, great.

168

00:26:57.230 --> 00:26:58.450

Sarah Cornell: Hey, Cora!

169

00:26:58.670 --> 00:27:01.569

Sarah Cornell: Do you want to kick it off? Do you want to get started, maybe?

170

00:27:01.570 --> 00:27:03.110

Cora Cornell: Okay.

171

00:27:03.650 --> 00:27:17.680

Cora Cornell: Hi, I'm Cora, thank you for letting me speak today. I'm 12 years old, in 6th grade, and I have metachromatic leukodystrophy. I'm lucky, I'm alive.

172

00:27:17.690 --> 00:27:26.130

Cora Cornell: Most families' first child with early onset MLD are diagnosed too late to

173

00:27:26.380 --> 00:27:44.569

Cora Cornell: To be treated. Only younger siblings are saved while the older child dies, so I know I am lucky to be here. And I do enjoy life. I love music, coding and game design, Roblox, YouTube, and

making plans for the future.

174

00:27:44.570 --> 00:27:52.980

Cora Cornell: But luck, you should not have to luck like this. My life has been really hard, because MLD hurt my body and brain.

175

00:27:52.980 --> 00:28:02.730

Cora Cornell: Before diagnosis to delayed, diagnosis also meant I could not get the best treatment at the best time. Instead.

176

00:28:02.820 --> 00:28:07.300

Cora Cornell: Instead, I had to go through a much harder transplant.

177

00:28:07.430 --> 00:28:19.840

Cora Cornell: I'm here today because babies born now have a chance for earlier diagnosis and better treatment. I would give anything for healthier.

178

00:28:19.840 --> 00:28:28.730

Cora Cornell: body in a more narrow childhood. I hope they get that chance. Now, my mom is going to tell you what happened when I was little.

179

00:28:29.910 --> 00:28:35.129

Sarah Cornell: Thanks, Cora, and thank you, everyone, for inviting Cora and I here to speak today.

180

00:28:35.390 --> 00:28:49.129

Sarah Cornell: As Cora noted, unlike most children who survive early-onset MLD, Cora did not have an older sibling who died first and made diagnosis and treatment possible for the next child. She is actually our only child.

181

00:28:49.540 --> 00:29:00.749

Sarah Cornell: So, one of the foremost MLD experts in the world once said about Cora, she is not a rare disease zebra. She is a unicorn. The Unicora.

182

00:29:00.890 --> 00:29:17.950

Sarah Cornell: And that is true. And so, one of the most fundamental points that I would make about our place in the MLD community is that

our journey was rare. We were lucky, even though it's a strange word, to apply for our situation, and quite privileged.

183

00:29:18.710 --> 00:29:26.620

Sarah Cornell: And as Cora argues, though, if what she has suffered is what luck and privilege looks like.

184

00:29:26.780 --> 00:29:35.080

Sarah Cornell: then that underscores how urgently MLD requires newborn screening, which is the only path to a better treatment.

185

00:29:35.460 --> 00:29:45.569

Sarah Cornell: So, there are 3 parts to our story. I think the first is the cost of a delayed diagnosis.

186

00:29:45.990 --> 00:29:56.189

Sarah Cornell: The second is the cost of losing the best treatment window. And the third is how those costs continue years later.

187

00:29:57.000 --> 00:30:12.650

Sarah Cornell: So, I'll start by saying that when, my husband and I moved from New Mexico to Massachusetts, when I was 6 months pregnant, we were just absolutely thrilled to start our family. We had put it off for professional reasons.

188

00:30:12.650 --> 00:30:21.619

Sarah Cornell: And, you know, you couldn't have found happier, expectant parents. And when Cora entered the world, everything felt so perfect.

189

00:30:21.620 --> 00:30:36.490

Sarah Cornell: She developed normally, she hit her early milestones quickly, she slept through the night, she laughed all the time, she barely cried. Other new parents hated me. She was, like, the perfect child. It was heaven.

190

00:30:36.620 --> 00:30:50.380

Sarah Cornell: But in September 2014, when she was 16 months old, everything changed. And this is the last photo I took of Cora before I became convinced that my child was dying.

191

00:30:50.590 --> 00:30:59.939

Sarah Cornell: She had a common childhood virus, with a high fever, and she recovered pretty well, but...

192

00:30:59.940 --> 00:31:13.279

Sarah Cornell: just as she was getting over it, I noticed that she began walking differently. She had sort of a wider gait, she was staggering. I didn't have the technical language for it, so I described it as a drunken sailor walk.

193

00:31:13.530 --> 00:31:26.490

Sarah Cornell: I did go to her pediatrician, and I said, oh, you know, is this, like, regression? Is she losing a motor skill? And her pediatrician told me to stop using alarmist language.

194

00:31:26.540 --> 00:31:39.709

Sarah Cornell: And I had to refuse to leave that office without, referrals, and I was referred to a neurologist in early intervention, which had, like, you know, a 6-month wait to even be evaluated.

195

00:31:39.710 --> 00:31:52.410

Sarah Cornell: We did eventually see a local neurologist, and, I saw the moment where he, like, entered the room and saw Cora trying to step up on a scale, and everything changed.

196

00:31:52.550 --> 00:32:05.369

Sarah Cornell: And so, I thought I was going to get a PT consult at this appointment. But instead, he told me that the symptom that I was watching was something called ataxia.

197

00:32:05.600 --> 00:32:19.130

Sarah Cornell: And he told me that he did not know her exact disease, but that ataxia is typically associated with conditions that were genetic, they were progressive, and they were terminal.

198

00:32:19.250 --> 00:32:33.460

Sarah Cornell: That was not news I expected. My husband wasn't even at the appointment with me. And he, you know, said basically that there was little to be done except to take her home, enjoy our time together, and to spoil her.

199

00:32:33.460 --> 00:32:40.299

Sarah Cornell: He was willing to try to support us as we looked for a diagnosis, but he didn't have a genetics department.

200

00:32:40.380 --> 00:32:51.779

Sarah Cornell: Behind him, and, you know, going sort of disease by disease, with genetic screening would be very costly and, would take a really long time.

201

00:32:51.800 --> 00:33:04.250

Sarah Cornell: So, I decided that I wanted to pursue answers elsewhere, so we turned to major medical centers, like Boston Children's Hospital, Johns Hopkins, and the University of Chicago.

202

00:33:04.420 --> 00:33:19.739

Sarah Cornell: We had hospitalizations, we had testing, we had imaging, we had consultations, but no diagnosis. She had a brain MRI, multiple ones, actually, and they were read as normal, even though they were not.

203

00:33:20.010 --> 00:33:25.779

Sarah Cornell: She had multiple nerve conduction studies. They were also read as normal, even though they were not.

204

00:33:27.330 --> 00:33:36.000

Sarah Cornell: I even requested faster, less expensive lysosomal testing. I actually guessed the right sort of panel to screen my daughter.

205

00:33:36.000 --> 00:33:47.499

Sarah Cornell: It picks up diseases like chorus, but the neurology genetics Clinic refused. They said that was for really sick children, and my child was not sick enough.

206

00:33:47.690 --> 00:34:05.369

Sarah Cornell: So, my concerns were minimalized. I was, encouraged to consider antidepressants, for me, and her formal medical notes labeled me as a, quote, hypervigilant first-time mother, end quote, and called Cora, quote, clumsy.

207

00:34:05.690 --> 00:34:17.670

Sarah Cornell: And, you know, it's interesting. They asked me to take videos of what I was seeing, and so while other parents were filming,

you know, first words and joyful moments.

208

00:34:17.870 --> 00:34:27.210

Sarah Cornell: I was filming my daughter slipping away, hoping that if I captured the right moment, someone would finally help her, would help us.

209

00:34:27.219 --> 00:34:44.269

Sarah Cornell: And that period lasted nearly a year and a half. It was 17 months. And, you know, life went on, and some of my... my photo role looks like, you know, other kids. There's Cora in the middle with her cousins, there's Cora at a carousel.

210

00:34:44.400 --> 00:34:50.810

Sarah Cornell: Cora for Halloween, and Christmas, shortly before our diagnosis.

211

00:34:50.860 --> 00:35:06.380

Sarah Cornell: And you know, when the diagnosis is MLD, 17 months is not neutral time. It is disease progression time. It costs brain, right? It brings about white matter loss. And so, during that period.

212

00:35:06.380 --> 00:35:16.929

Sarah Cornell: Cora, kept worsening. She was losing muscle tone, she had learned the alphabet, and she had forgotten it. She could recognize letters, and then she lost that skill.

213

00:35:16.940 --> 00:35:35.439

Sarah Cornell: Her speech was changing, she was drooling a bit more, she was overreaching objects, she developed an intention tremor, and all of that was really visible when she was sick or otherwise stressed. That's when I could really see those symptoms much more clearly.

214

00:35:35.440 --> 00:35:40.180

Sarah Cornell: So, in 2016, I went on [clinicaltrials.gov](http://clinicaltrials.gov).

215

00:35:40.180 --> 00:35:53.419

Sarah Cornell: And I found a University of Michigan research study into the genetic causes of unknown ataxias. And, they accepted CORA after my first email. I couldn't believe it. It was a dream come true.

216

00:35:53.420 --> 00:36:05.870

Sarah Cornell: And she was diagnosed really quickly, actually, because her cause was not unknown. Once they sequenced her, she had two known pathogenic ARSA mutations.

217

00:36:05.870 --> 00:36:24.789

Sarah Cornell: So, I received a call that my child had metachromatic leukodystrophy. The team actually wasn't prepared for what do you do, if there is a treatment. How do we get the news to, the parents? So, you know, once I heard metachromatic leukodystrophy.

218

00:36:24.830 --> 00:36:36.470

Sarah Cornell: There was still no coordinated pathway to confirm that diagnosis. I actually had to independently locate and contact the appropriate lab testing myself.

219

00:36:37.030 --> 00:36:44.879

Sarah Cornell: And I do want to make the point here that, you know, my focus is, of course, how this impacted Cora and my family.

220

00:36:44.900 --> 00:36:58.079

Sarah Cornell: But I do want to emphasize something very important for public health, which is my family had unusual advantages. Education, persistence, the ability to travel, access,

221

00:36:58.400 --> 00:37:10.270

Sarah Cornell: to some of the best pediatric hospitals in the country. And even with all of that, it took nearly a year and a half for Cora to receive a diagnosis.

222

00:37:10.400 --> 00:37:23.860

Sarah Cornell: And so, if that diagnosis depended on luck for us, me seeing that study, right? Imagine what that diagnostic journey is like for families with fewer resources, with less

223

00:37:23.860 --> 00:37:33.370

Sarah Cornell: flexibility or greater geographic barriers. And, you know, that is, of course, part of the reason newborn screening matters. It removes luck from the equation and privilege.

224

00:37:33.600 --> 00:37:48.209

Sarah Cornell: So after we finally confirmed Cora's diagnosis of metachronic leukodystrophy and, you know, passed on that information to these centers, no one actually suggested treatment for CORA for us.

225

00:37:48.340 --> 00:37:56.460

Sarah Cornell: It really would have been extraordinarily unusual for a child who had early onset, symptomatic

226

00:37:56.460 --> 00:38:11.949

Sarah Cornell: MLD to be eligible for treatment after a 17-month delay. And, you know, it took 2 weeks for it to dawn on me that we should at least investigate, because if she was so unusual, maybe she would be unusual in this, this manner too.

227

00:38:11.950 --> 00:38:30.709

Sarah Cornell: So, there was a short delay, and then we reached out and contacted multiple transplant centers with expertise in MLD, and that included the Italian gene therapy team, who was then conducting clinical trials for the now FDA-approved treatment.

228

00:38:30.970 --> 00:38:48.230

Sarah Cornell: And every single center said, yes, go. It is miraculous that your daughter is still eligible. We would not, you know, recommend treating her if she wasn't, and typically she wouldn't be, but we don't know how, but your child, is eligible.

229

00:38:48.870 --> 00:38:49.820

Sarah Cornell: But...

230

00:38:50.090 --> 00:39:06.890

Sarah Cornell: She wasn't on the schedule for gene therapy in Italy, and they couldn't treat her right away. They said maybe, maybe 6 to 12 months, they could get her in. And her disease was progressing in real time. We did not have 6 to 12 months.

231

00:39:07.090 --> 00:39:15.019

Sarah Cornell: So we made the absolutely wrenching decision to take Cora to Duke University for a cord blood transplant.

232

00:39:15.260 --> 00:39:25.140

Sarah Cornell: And, you know, we knew the risks, but we also knew exactly how she would die if we did nothing. So, we chose that

transplant.

233

00:39:25.360 --> 00:39:35.509

Sarah Cornell: And, you know, I know that today you will hear more science after us, but I do want to explain one simple point that's important to understand, CORA's trajectory.

234

00:39:35.550 --> 00:39:51.370

Sarah Cornell: Modern gene therapy uses a child's own corrected cells rather than donor cells, and that greatly reduces the risk of graft versus host disease, and usually requires much less prolonged immunosuppression.

235

00:39:51.420 --> 00:39:59.379

Sarah Cornell: In other words, earlier diagnosis Means not only earlier treatment, but safer treatment.

236

00:39:59.810 --> 00:40:04.709

Sarah Cornell: For Quora, Treatment was a race against time.

237

00:40:04.850 --> 00:40:17.009

Sarah Cornell: for babies identified by screening, it's a timely, planned intervention. But because CORA was diagnosed too late, we lost that window, and we lost gene therapy.

238

00:40:17.420 --> 00:40:31.900

Sarah Cornell: So, Cora underwent a cord blood transplant at Duke University, which has an amazing team. Here she is getting ready, for her transplant. We were proactively shaving her head.

239

00:40:31.900 --> 00:40:41.359

Sarah Cornell: And here she is on transplant day. She's checking out those beautiful, blood cells that she's about to get.

240

00:40:41.410 --> 00:40:53.959

Sarah Cornell: And you know, at first, things seemed really hopeful, seemed like they were going really well. She was one of those children who barely reacted to chemo. You couldn't even tell she was getting it.

241

00:40:53.960 --> 00:41:05.370

Sarah Cornell: And she engrafted quickly, she enjoyed things like in-hospital bingo, there she is out in the hallway playing basketball.

242

00:41:05.400 --> 00:41:11.010

Sarah Cornell: But our projected 6 weeks inpatient at Duke

243

00:41:11.080 --> 00:41:18.050

Sarah Cornell: Soon turned into 9 months inpatient, because Cora suffered complication after complication.

244

00:41:18.360 --> 00:41:28.679

Sarah Cornell: She had 3 serious viral reactivations while her immune system was too weak to fight them. One of those viruses reached her brain.

245

00:41:28.910 --> 00:41:35.860

Sarah Cornell: Another caused hemorrhagic cystitis so severe that she nearly bled to death multiple times.

246

00:41:36.160 --> 00:41:45.780

Sarah Cornell: She developed Unexplained lung crises, She stopped eating, and eventually they had to put in a feeding tube.

247

00:41:45.970 --> 00:41:55.800

Sarah Cornell: And the medical team had to balance fighting infection while suppressing what they feared was graft versus host disease.

248

00:41:56.110 --> 00:42:02.230

Sarah Cornell: So, she received massive doses of steroids, and they helped save her life.

249

00:42:02.420 --> 00:42:08.380

Sarah Cornell: But they also damaged her bones. One day, her pelvis fractured while she was napping.

250

00:42:08.580 --> 00:42:17.399

Sarah Cornell: She suffered multiple spinal fractures, the first while she was reaching for a doll. And she spent much of her childhood in

251

00:42:17.520 --> 00:42:24.180

Sarah Cornell: Isolated in an 8x8 small room with everybody gowned up, with, gloves and masks on.

252

00:42:24.490 --> 00:42:44.259

Sarah Cornell: Eventually, we made the decision to transfer her back to Boston, to go to Boston Children's, and it wasn't actually because we thought Cora was improving, but rather because, if the worst happened, we wanted to be closer to our friends and family, our support system.

253

00:42:44.470 --> 00:42:51.629

Sarah Cornell: So here we are, at Boston Children's in time for Christmas in 2016.

254

00:42:51.830 --> 00:43:10.039

Sarah Cornell: But instead, something extraordinary happened. Boston Children's transplant team saved her. You know, she spent nearly 5 more months inpatient at Boston Children's. All of her old problems persisted, and we had some new ones.

255

00:43:10.040 --> 00:43:23.309

Sarah Cornell: Like, hemolytic anemia and, lung problems, sort of got worse, and she had blood clots in her brain, so there are new forms of monitoring going on.

256

00:43:23.310 --> 00:43:32.389

Sarah Cornell: And, you know, her team was really honest with us. They said they fully didn't... they did not fully understand the root causes of what was happening to Cora.

257

00:43:32.390 --> 00:43:49.039

Sarah Cornell: But they kept her alive under their care, and slowly, she recovered. And eventually, we were discharged from the hospital after more than a year inpatient, well more than a year, and it was amazing.

258

00:43:49.040 --> 00:43:57.680

Sarah Cornell: You know, this is sort of what our outpatient life looked like. This is her, afternoon tray of meds.

259

00:43:57.680 --> 00:44:11.779

Sarah Cornell: And I know that because it fit on one tray. But, you

know, for us, this was a miracle, and I think this is the part of the story that most people think is the ending, but it wasn't.

260

00:44:12.020 --> 00:44:29.160

Sarah Cornell: You know, I do want to fully acknowledge that after years of, now, hospitalization and isolation, Cora was able to re-enter the world, and she did so with joy. You know, we sort of were able to go outside.

261

00:44:29.160 --> 00:44:38.089

Sarah Cornell: She was reunited with her family, she got to see other children, we went to the beach, we went to playgrounds.

262

00:44:38.090 --> 00:44:48.349

Sarah Cornell: She learned to ride a bike, she started kindergarten, it was, just amazing. She went trick-or-treating for Halloween.

263

00:44:48.350 --> 00:45:05.349

Sarah Cornell: And eventually, she walked a 5K to raise awareness and funds for MLD. You know, I was there with, like, a stroller, being like, Cora, get in the stroller, and she goes, no, I am walking across that finish line, and she walked the whole thing.

264

00:45:05.450 --> 00:45:13.330

Sarah Cornell: And, you know, we thought that the hardest part was behind us, that our lives, you know, were going to...

265

00:45:13.630 --> 00:45:26.540

Sarah Cornell: move forward along an expected path now. But, the consequences of the delayed diagnosis and the harder treatment path actually continued past 2019.

266

00:45:26.850 --> 00:45:38.459

Sarah Cornell: Cora was, in late 2019, diagnosed with a permanent immunodeficiency, that they believe stems from her transplant.

267

00:45:38.460 --> 00:45:54.529

Sarah Cornell: She cannot make antibodies normally, so her immune protection comes from weekly, infusions of immunoglobulins. But of course, this is 2019, and what happens is 2020. Then comes COVID.

268

00:45:54.630 --> 00:46:04.369

Sarah Cornell: And Cora can't mount a normal immune response, and replacement antibodies always lag circulating strains.

269

00:46:04.370 --> 00:46:18.960

Sarah Cornell: So she is medically vulnerable to COVID even now. She is not eligible for COVID protections, like monoclonal antibodies that adult immunocompromised people have access to.

270

00:46:19.140 --> 00:46:34.819

Sarah Cornell: And 2020 brought other problems for us as well. Healthcare systems, of course, were strained. Many of Cora's physicians left Boston Children's, Dana-Farber, Mass General, and she needed follow-up care, and it did not happen in time.

271

00:46:34.820 --> 00:46:51.260

Sarah Cornell: And I repeatedly sought, follow-up specialty care for her, even though there wasn't a crisis, but, like, I knew that she needed it. So that included things like endocrine care and, bone care. And I kept seeking these appointments

272

00:46:51.720 --> 00:47:03.679

Sarah Cornell: And, you know, we weren't able to get in, and those delays had enormous consequences for my family. Post-transplant osteoporosis.

273

00:47:03.780 --> 00:47:16.120

Sarah Cornell: and primary ovarian insufficiency, which worsens osteoporosis, are known risks, and they can be treated proactively, but that's not what happened for Cora.

274

00:47:16.200 --> 00:47:23.940

Sarah Cornell: In 2024, Cora's life dramatically changed. In the morning, she went to an academic summer camp.

275

00:47:24.250 --> 00:47:41.909

Sarah Cornell: She had friends over to swim at our house in the afternoon, and then she went horseback riding in the evening. So she was really exhausted when she got out of bed that night for a drink of water, and she fell. It was so unusual for her. She almost never fell. But she did, and her hip broke.

276

00:47:42.070 --> 00:47:53.220

Sarah Cornell: And then began... then began a cascade. She had to be off her feet for 6 months while that fracture healed. That worsened her bone density further.

277

00:47:53.290 --> 00:48:07.419

Sarah Cornell: That led to another hip fracture, which led to her bone density worsening. She sustained a vertebral compression fracture when I hit a pothole while I was driving her to a doctor's appointment next.

278

00:48:07.630 --> 00:48:15.879

Sarah Cornell: By the time she was able to try to stand again, she developed stress fractures in both of her heels simply from standing up.

279

00:48:15.970 --> 00:48:29.019

Sarah Cornell: And all of that time in a wheelchair and off of her feet led to scoliosis, which became quite severe. And so this summer, Cora is facing a massive surgery.

280

00:48:29.460 --> 00:48:35.959

Sarah Cornell: And, you know, last year alone, Cora had 144 medical appointments.

281

00:48:36.190 --> 00:48:43.689

Sarah Cornell: So, this is what delayed diagnosis can still cost many years later when you think the worst is behind you. It costs...

282

00:48:44.010 --> 00:48:53.399

Sarah Cornell: your childhood. It costs school time. It costs mobility. It, you know, takes away from ordinary family life.

283

00:48:53.510 --> 00:49:04.500

Sarah Cornell: And, you know, delayed diagnosis is not just costly on the personal level for families. I would be remiss if I didn't note all that it cost the healthcare system.

284

00:49:04.660 --> 00:49:23.909

Sarah Cornell: schools are employers. It's amazing my husband and I are still employed. You know, all of this meant more emergency care, more specialty visits, more rehabilitation, more surgeries, more

missed work, more educational disruption, and more, education support.

285

00:49:23.950 --> 00:49:25.400

Sarah Cornell: So, you know.

286

00:49:25.640 --> 00:49:36.459

Sarah Cornell: Earlier diagnosis can, you know, save brain, can get you the best treatment, but it can also prevent avoidable, downstream costs.

287

00:49:36.980 --> 00:49:39.630

Sarah Cornell: Cora, do you want to jump in, babe?

288

00:49:45.470 --> 00:49:54.219

Cora Cornell: My bones keep breaking because I've had to be in a wheelchair for almost 2 years. My immune system doesn't work.

289

00:49:54.430 --> 00:49:57.479

Cora Cornell: It means I'm allergic to everything.

290

00:49:57.630 --> 00:50:09.570

Cora Cornell: Eggs, tree nuts, bananas, avocados, celi, watermelon, and much more, while my body is so busy attacking food.

291

00:50:09.570 --> 00:50:18.179

Cora Cornell: It doesn't fight off viruses or bacteria. I have to wear a mask whenever I'm in public, and it's so hard.

292

00:50:32.950 --> 00:50:33.630

Sarah Cornell: Core.

293

00:50:36.260 --> 00:50:37.190

Cora Cornell: That's all we have.

294

00:50:40.750 --> 00:50:49.389

Sarah Cornell: Okay, well, cora told me, also, that,

295

00:50:49.460 --> 00:51:04.269

Sarah Cornell: Between the mask and the wheelchair, that some people

treat her as though she's invisible, and that activities at school are also often very inaccessible for her.

296

00:51:04.350 --> 00:51:10.739

Sarah Cornell: And, you know, school is really difficult. Certain subjects, like math.

297

00:51:10.760 --> 00:51:21.799

Sarah Cornell: are very difficult, because of the impact of MLD and the transplant, the chemo, but also because she has to miss so much school.

298

00:51:21.800 --> 00:51:35.700

Sarah Cornell: And Cora does physical therapy, every single day for hours, hours, because she really wants strong bones and to be able to walk again.

299

00:51:35.720 --> 00:51:38.960

Sarah Cornell: Core wears leg braces.

300

00:51:39.170 --> 00:51:48.300

Sarah Cornell: And she wears a back brace 22 hours a day. She hates it. Hates it. She never complains about anything but that back brace.

301

00:51:48.450 --> 00:52:06.100

Sarah Cornell: But she did it because she didn't want to have spinal surgery, but we just found out last week that she has to have spinal fusion this summer anyway. And, you know, Cora has expressed just how frustrating and difficult all of that is.

302

00:52:06.260 --> 00:52:18.439

Sarah Cornell: But, you know, she wanted to stress for you that she still really enjoys life, she just wishes she could spend more of it being a kid.

303

00:52:18.440 --> 00:52:29.330

Sarah Cornell: She is, you know, in some ways, much like other children. Cora loves... oh, there she is, standing with her broken heels and in her back brace.

304

00:52:29.460 --> 00:52:41.940

Sarah Cornell: Cora loves horseback riding so much, and she loves all animals, so you can see her here with her best friend, feeding some sloths, hanging out with a snake.

305

00:52:42.050 --> 00:52:44.700

Sarah Cornell: And a crawfish...

306

00:52:44.780 --> 00:53:02.779

Sarah Cornell: Cora likes... likes feeding swans, she has discovered a love of fishing, she loves to read, she especially enjoys graphic novels, and she just absolutely loves to hang out with her friends.

307

00:53:02.890 --> 00:53:13.960

Sarah Cornell: But Cora really wants the next children born with MLD to spend more time playing than at the doctor's office, like her.

308

00:53:14.580 --> 00:53:22.840

Sarah Cornell: So... Quora's 10th Rebirthday, her transplant anniversary, was actually last week.

309

00:53:23.120 --> 00:53:35.350

Sarah Cornell: And I am profoundly grateful for this past decade with her. I cannot tell you how blessed, lucky, privileged I feel to have been her mother, and to have had this time with her.

310

00:53:35.640 --> 00:53:54.739

Sarah Cornell: But anniversaries bring thoughts of lasts with them as well. The last time she walked? Independently. The last time we went a week without multiple appointments, hours and hours and hours at doctors' offices. The last season or holiday before fractures changed everything for us.

311

00:53:54.820 --> 00:53:58.109

Sarah Cornell: And you know, then there are the what-ifs, which are almost worse.

312

00:53:58.450 --> 00:54:02.089

Sarah Cornell: What if Cora had been diagnosed at birth?

313

00:54:02.240 --> 00:54:16.840

Sarah Cornell: What if she had received gene therapy before symptoms?

What would her body be like today? Her lung function, her bones? But more importantly, her experiences? What would her childhood have been?

314

00:54:17.140 --> 00:54:19.430

Sarah Cornell: We cannot change that for Quora.

315

00:54:20.390 --> 00:54:23.149

Sarah Cornell: But you all can change it for the next child.

316

00:54:23.570 --> 00:54:36.710

Sarah Cornell: Public health, to my mind, exists, so families don't need extraordinary luck, privilege, relentless self-advocacy, and access to elite institutions to simply give their child a chance.

317

00:54:36.940 --> 00:54:46.460

Sarah Cornell: Newborn screening, creates something rare disease families almost never have time. Time for diagnosis, time for treatment.

318

00:54:46.640 --> 00:54:55.050

Sarah Cornell: Time to save their child's childhood. So, today, Cora and I want to ask you to focus on firsts.

319

00:54:55.240 --> 00:55:06.979

Sarah Cornell: The first Montana baby with MLD to be identified before they show symptoms. The first family spared a diagnostic odyssey like ours. The first baby.

320

00:55:06.980 --> 00:55:18.539

Sarah Cornell: who gets the safest treatment path at the exact right time. And overall, the first future that is protected because you acted.

321

00:55:19.040 --> 00:55:20.000

Sarah Cornell: Cora?

322

00:55:21.030 --> 00:55:22.339

Sarah Cornell: Hey, Cora Babes!

323

00:55:25.080 --> 00:55:27.689

Sarah Cornell: Do you want to thank them for listening to you?

324

00:55:29.480 --> 00:55:30.660

Sarah Cornell: your story?

325

00:55:31.510 --> 00:55:33.729

Cora Cornell: Thank you.

326

00:55:34.480 --> 00:55:36.519

Sarah Cornell: Is there anything else you want to say, babe?

327

00:55:36.800 --> 00:55:37.750

Cora Cornell: No.

328

00:55:37.750 --> 00:55:39.360

Sarah Cornell: Okay, thank you, Cora.

329

00:55:42.850 --> 00:55:44.559

Sarah Cornell: Corey, do you want to log off?

330

00:55:47.170 --> 00:55:48.219

Sarah Cornell: Bye, baby!

331

00:55:49.260 --> 00:55:50.250

Shelly Eagen: Thank you.

332

00:55:50.840 --> 00:55:51.480

Sarah Cornell: Thanks.

333

00:55:51.680 --> 00:55:53.030

Sarah Cornell: Thank you, everyone.

334

00:56:00.040 --> 00:56:09.720

Mikaela Miller: Thank you, Sarah, so much for taking the time to be here, and please make sure to share with Cora that we are so grateful for her to share her story with us as well.

335

00:56:09.960 --> 00:56:10.560

Sarah Cornell: Thank you.

336

00:56:12.950 --> 00:56:17.860

Mikaela Miller: I am going to, go ahead and share my screen again.

337

00:56:21.740 --> 00:56:31.020

Mikaela Miller: While I'm doing that, Shelly, would you like to go ahead and introduce our SME? I believe we have Dr. Von Kaski here.

338

00:56:31.020 --> 00:56:45.019

Shelly Eagen: Yeah, so Dr. Josh Gronkowski is a professor of pediatrics at the University of Utah School of Medicine and Primary Children's Hospital, Chief of the Division of Pediatric Neurology, and Director of the Center for Personalized Medicine.

339

00:56:45.020 --> 00:56:55.069

Shelly Eagen: After graduating from Harvard College, she spent a year on a Fulbright Fellowship in Vienna, Austria, followed by MD and PhD training at UCSD.

340

00:56:55.320 --> 00:57:05.979

Shelly Eagen: Dr. Bronkowski is a physician-scientist with interest in clinical and bench research, including the pathophysiology, outcomes, and treatments for leukodystrophies, including MLD.

341

00:57:05.980 --> 00:57:15.709

Shelly Eagen: Since 2006, Dr. Bonkowski has personally mentored more than 90 trainees, more than half of whom are women or underrepresented minority trainees.

342

00:57:15.710 --> 00:57:34.739

Shelly Eagen: Together with his wife, a pediatric infectious disease physician, he juggles his personal and professional responsibilities. In his quote-unquote spare time, he enjoys camping with his daughter, building a quasi-functional radio telescope with his sons, and trail running. Thank you, Dr. Bonkowski, for joining us.

343

00:57:36.860 --> 00:57:39.869

Josh Bonkowsky: Thanks, everybody, for the invitation.

344

00:57:40.210 --> 00:57:52.520

Josh Bonkowsky: I really appreciated the story from the Cornell family, that's really a hard act to follow. I have some slides to present, is it okay if I try and share them from my computer? Is that okay?

345

00:57:53.140 --> 00:57:54.930

Mikaela Miller: Yeah, let me,

346

00:57:56.120 --> 00:58:03.590

Mikaela Miller: back out here. If you go ahead on your screen, it should ask for permission to Stephanie, and she'll approve that. There we go.

347

00:58:07.230 --> 00:58:09.020

Josh Bonkowsky: Does that seem okay for folks?

348

00:58:09.520 --> 00:58:11.310

Shelly Eagen: Yes, looks great, thank you.

349

00:58:11.660 --> 00:58:29.009

Josh Bonkowsky: Great, so I'll talk briefly about, I mean, you've already heard, from the Cornell family just about their journey and the difficulties they faced. I'll talk, at a more kind of medical scientific level about MLD as a disease, about the treatments,

350

00:58:29.010 --> 00:58:33.200

Josh Bonkowsky: And a little bit about the newborn screening and how it interacts with the medical system.

351

00:58:33.680 --> 00:58:37.829

Josh Bonkowsky: Let's see if I can make this work...

352

00:58:48.480 --> 00:58:52.149

Josh Bonkowsky: So, MLD is a...

353

00:58:52.290 --> 00:59:04.880

Josh Bonkowsky: type of condition called a leukodystrophy. So leukodystrophy is just the fancy term for any disease that affects the white matter of the brain. In MLD, in this case, we're talking about metachromatic leukodystrophy.

354

00:59:05.010 --> 00:59:16.080

Josh Bonkowsky: There are hundreds of different kinds of leukodystrophies, but the reason we are here today is because MLG matters, because there's a treatment available, and that treatment is time-sensitive.

355

00:59:17.460 --> 00:59:30.430

Josh Bonkowsky: So the white matter of the brain is composed of different types of cells, and it's important because it basically acts as the insulation for the nerve signals traveling back and forth, and so if you were to

356

00:59:30.430 --> 00:59:42.359

Josh Bonkowsky: pick up a brain and hold it, and you're looking at it, the white matter looks white, and the gray matter, which are the nerve cells, looks gray. So that's... that's what white and gray matter are when you're talking about the brain.

357

00:59:43.760 --> 01:00:03.049

Josh Bonkowsky: So MLD, or metachromatic leukodystrophy, is caused by, gene... a gene that gets affected, or a gene that's mutated, called ARSA, or arylsulphatase. It's autosomal recessive, the condition, which means that both copies of the gene have to be affected, so usually,

358

01:00:03.190 --> 01:00:13.660

Josh Bonkowsky: in most situations, one parent carries one copy that's affected, but the other copy is fine, so that parent is fine. Same thing, the other parent also has one affected copy, but

359

01:00:13.660 --> 01:00:26.820

Josh Bonkowsky: They have... and so... but they have an unaffected copy, so they're fine, but when they have a child, they have a 1 in 4 chance of both passing on, the mutated copy, leaving their child affected by the condition.

360

01:00:27.070 --> 01:00:32.820

Josh Bonkowsky: The MLD occurs about... in about 1 in 40,000 live births.

361

01:00:33.170 --> 01:00:49.719

Josh Bonkowsky: the way the gene acts, the function of the gene is that it works in something called the lysosome, and there's a picture

of a lysosome on the right there inside the cell. It's a... it's, this little purple thing right here. The lysosome kind of acts as a,

362

01:00:50.050 --> 01:01:02.020

Josh Bonkowsky: as a part of the cell that kind of is involved in breakdown and metabolism of different parts of the cell, and so if it stops working, everything kind of backs up. So it'd be like if your kitchen sink

363

01:01:02.050 --> 01:01:11.729

Josh Bonkowsky: And you had to pass everything through the kitchen sink to get out of the house. If it stopped working, the whole cell starts to get affected because it's no longer taking care of.

364

01:01:11.770 --> 01:01:14.839

Josh Bonkowsky: Of getting rid of toxins or other bad things from the cell.

365

01:01:15.200 --> 01:01:29.309

Josh Bonkowsky: And then the, function of the gene ARSA is to make a protein called aryl sulfatase, and so if it's not working, you're left with something called aryl sulfatase deficiency. So again, these are just all the fancy terms that go along with, with MLD.

366

01:01:31.220 --> 01:01:36.919

Josh Bonkowsky: So, we've already heard, like, a great example of how someone with MLD can be affected.

367

01:01:37.180 --> 01:01:54.309

Josh Bonkowsky: I thought this... I have briefly presented a patient we... a family we took care of, so a 16-year-old girl came in because she's having a little bit of school difficulty, and her walking was getting worse, and in kind of retrospect, the family realized that these symptoms had kind of been building up over the previous year.

368

01:01:54.350 --> 01:02:08.860

Josh Bonkowsky: She'd been previously totally healthy, there was no family history of anything at all, so kind of out of the blue. And then, she ended up getting an MRI, and then based on the MRI, as I'll talk about in a minute, she had testing for MLD, which confirmed that she had an MLD.

369

01:02:09.220 --> 01:02:18.560

Josh Bonkowsky: We tested other people in the family then at that point, and also found that her younger siblings, also had MLD. And so, the first child,

370

01:02:18.650 --> 01:02:30.110

Josh Bonkowsky: did end up going through the bone marrow transplant process, and so she was treated. However, by the time we treated her, she was already far enough along where

371

01:02:30.220 --> 01:02:36.169

Josh Bonkowsky: The treatment wasn't really effective until she got worse and worse, and eventually she passed away about a year after the transplant.

372

01:02:36.610 --> 01:02:41.309

Josh Bonkowsky: Her two younger siblings also had the transplant, the bone marrow transplant.

373

01:02:41.470 --> 01:02:57.189

Josh Bonkowsky: The slightly... the next, younger sibling already had been a little bit affected when she... when we first diagnosed her, and so she's still alive, but she's been quite affected, so the transplant's been effective at saving her life, but unfortunately she's continued to have symptoms.

374

01:02:57.360 --> 01:03:11.949

Josh Bonkowsky: And then the third youngest sibling was asymptomatic at the time we diagnosed them, and now as an adult, working and living independently. I think they're working as a, as a carpenter, if I remember correctly, and so they're doing great.

375

01:03:12.150 --> 01:03:22.980

Josh Bonkowsky: And so, really, making the diagnosis matters, both for just, like, being able to survive it all, and it also matters in terms of timing for, for how effective they're going to be.

376

01:03:24.450 --> 01:03:37.130

Josh Bonkowsky: So, MLD is kind of a tricky condition because, when, when people first are diagnosed, they are asymptomatic, so the kid looks totally fine, or the infant looks totally fine.

377

01:03:37.210 --> 01:03:47.000

Josh Bonkowsky: But the symptoms, kind of creep up. They're often kind of non-specific. It's hard to tell what's going on, and so oftentimes that means that it takes a while to figure out a diagnosis.

378

01:03:48.130 --> 01:04:02.799

Josh Bonkowsky: So, the features of MLD are pretty well understood, and so the MRI, shown here at the top, is very characteristic when someone starts to have disease symptoms. So, this is the MRI picture.

379

01:04:02.800 --> 01:04:10.209

Josh Bonkowsky: And usually both sides of the brain are involved, so it's symmetric, and it has kind of this back part of the brain being affected first.

380

01:04:12.270 --> 01:04:28.929

Josh Bonkowsky: different, depending on when MLD starts in a person, so the age of onset means that different ages of onset have different symptoms, so the symptoms can include a neuropathy, just like a diabetic neuropathy, but a neuropathy caused by MLD.

381

01:04:29.100 --> 01:04:40.219

Josh Bonkowsky: Often they can have gallbladder disease, so that's something that people sometimes first have gallbladder disease before they get diagnosed. It can also cause developmental delay and seizures, and so this is, like, a little,

382

01:04:40.590 --> 01:04:49.519

Josh Bonkowsky: Picture of the different kinds of symptoms that can affect a person, but again, it's very variable depending on when the person has the disease onset start.

383

01:04:51.080 --> 01:05:10.319

Josh Bonkowsky: So, MLD diagnosis, it's very clearly defined, like, how you confirm or make the diagnosis, and so, a key step is genetic testing. Really, based on, you know, living in 2026, now I eventually get a genome sequencing, but there's other options as well, such as an exome or a panel test.

384

01:05:10.650 --> 01:05:13.679

Josh Bonkowsky: And we understand really well, based on what

385

01:05:14.000 --> 01:05:26.689

Josh Bonkowsky: type of mutation is in the ARCID gene, what the risk is for the person. There's also a really good community of leukodystrophy and MLD experts who communicate frequently if they find, like, a new

386

01:05:26.850 --> 01:05:32.209

Josh Bonkowsky: mutation that has been seen before to try and figure out, like, what is the risk? So one step is genetic testing.

387

01:05:32.330 --> 01:05:45.769

Josh Bonkowsky: Another test is to send the... is to send testing for LLE, or leukocyte lysosomal enzyme testing, and that helps figure out the level ARSA, because that's... that's also kind of a key step for knowing

388

01:05:45.940 --> 01:05:49.220

Josh Bonkowsky: How much time do we have before the disease really kicks in?

389

01:05:49.550 --> 01:06:08.020

Josh Bonkowsky: And then also, as part of the confirmatory testing, we send a urine test for urine sulfatides. So, the key test is the genetic testing, but it's kind of the full workup. We also do these other two steps just to kind of put the whole puzzle together to understand, timing, and kind of speed of treatment.

390

01:06:09.110 --> 01:06:20.790

Josh Bonkowsky: With MLD care, it's really important to see somebody who, understands MLD and leukodystrophies. They're kind of scattered around in a few different places, and the reason is that there's

391

01:06:20.830 --> 01:06:36.210

Josh Bonkowsky: subtleties, kind of, in care, for what should be done. And then, for a family or patient diagnosed with family, they need ongoing follow-up, of course, with, like, their pediatrician or family care doctor, just for kind of routine childhood stuff.

392

01:06:36.210 --> 01:06:53.269

Josh Bonkowsky: but also usually with either the leukodystrophy specialist, or locally, if there's a pediatric neurologist or geneticist available to kind of help manage care. And then the key thing is, of course, to do treatment, and the treatment is really

time-sensitive, and so that's why it ends up being that newborn screening is so critical.

393

01:06:53.990 --> 01:07:03.459

Josh Bonkowsky: So, diagnosis ends up being urgent. There's a narrow window of time between symptom onset, and then after which treatment's not effective. So, basically.

394

01:07:03.610 --> 01:07:20.420

Josh Bonkowsky: kind of just depending on the age of the child, you have about 2 to 6 months from the time symptoms start to the time that the treatment becomes too late to be effective. If you do a bone marrow transplant after someone's too affected, as I kind of illustrated in that example, the treatment, the bone marrow transplant is not effective.

395

01:07:20.460 --> 01:07:24.430

Josh Bonkowsky: The person in the family just experiences worsening disease and they pass away.

396

01:07:24.730 --> 01:07:32.679

Josh Bonkowsky: If you don't treat, then you have progressive disability and death, and so treatments, you have to do the treatment, and then the treatment is essentially curative.

397

01:07:34.900 --> 01:07:45.269

Josh Bonkowsky: there's different kinds of MLD, and this is a little bit confusing, based on the age of onset, and that age of onset is kind of defined by the genetic

398

01:07:45.380 --> 01:07:53.670

Josh Bonkowsky: variant in the ARSA gene, and so the different kinds are called LI, or late infantile.

399

01:07:53.800 --> 01:08:08.840

Josh Bonkowsky: EJ, or early juvenile, late juvenile, or adult onset. And so really, particularly with newborn screening, we're particularly trying to pay attention to the late infantile and early juvenile types, but with that information, we would be also picking up

400

01:08:09.350 --> 01:08:13.910

Josh Bonkowsky: People who won't have disease till later on, such as

late juvenile or adult onset.

401

01:08:14.300 --> 01:08:20.480

Josh Bonkowsky: With late infantile or early juvenile, obviously the time window is very, very tight, and so it becomes super urgent.

402

01:08:20.920 --> 01:08:30.000

Josh Bonkowsky: With late juvenile, there's a little bit longer window, so it's still urgent, but not like an emergency as it is for late infantile or early juvenile.

403

01:08:31.460 --> 01:08:32.149

Josh Bonkowsky: Ugh.

404

01:08:34.950 --> 01:08:49.749

Josh Bonkowsky: So, late infantile is the most common type, and so that's why newborn screening becomes so critical. The types and ages are a little bit of a generalization. They help us kind of define categories, but then you still need to talk to an expert to really, like, figure out which type of,

405

01:08:49.810 --> 01:09:00.369

Josh Bonkowsky: MLD that they have, and the genotype then kind of gets linked to how much of that enzyme activity they have, and that's why the leukocyte lysosome enzyme testing is necessary.

406

01:09:00.529 --> 01:09:17.430

Josh Bonkowsky: And then, at this point now, we have a... we... The specialists kind of have a lot of information, understanding, like, what is the risk for somebody based on what their gene is, what their genotype, what kind of mutation they have, and also based on their enzyme activity.

407

01:09:17.580 --> 01:09:23.619

Josh Bonkowsky: And then you can see here, there's kind of an overview of the different clinical subtypes and the kind of symptoms that they have, and so...

408

01:09:23.760 --> 01:09:36.400

Josh Bonkowsky: Late infantile, often the symptoms are pretty nonspecific, like delayed development, and then, like, someone, an adult, for example, might have more of a neuropsychiatric symptoms.

409

01:09:38.120 --> 01:09:56.229

Josh Bonkowsky: So, to summarize treatment, there's been, several, very comprehensive studies just published, a little over a year ago, about how to, diagnose someone, how to do monitoring, and then how to do treatment. So that's, those two publications right there.

410

01:09:56.490 --> 01:09:58.330

Josh Bonkowsky: And then to summarize treatment.

411

01:09:58.490 --> 01:10:12.800

Josh Bonkowsky: If it's late infant... if it's someone with late infantile or early juvenile subtype, so basically anyone under a few years of age, then they should get this treatment called RSSL, which I'll talk about in a few minutes.

412

01:10:12.900 --> 01:10:19.340

Josh Bonkowsky: Whereas if it's late... someone who's older, lead juvenile, or adult onset, then a standard bone marrow transplant.

413

01:10:20.090 --> 01:10:26.099

Josh Bonkowsky: Again, the timing is really critical, because for the late infantile, for the infants.

414

01:10:26.210 --> 01:10:33.889

Josh Bonkowsky: the RCSL or any treatment, only works if they're pre-symptomatic. Once they become symptomatic, the treatment becomes ineffective.

415

01:10:34.070 --> 01:10:37.030

Josh Bonkowsky: With early juvenile, the window is a little bit longer.

416

01:10:37.190 --> 01:10:42.740

Josh Bonkowsky: Ideally pre-symptomatic, but you have a little bit longer if they... if you diagnose someone after they're already symptomatic.

417

01:10:43.950 --> 01:10:51.480

Josh Bonkowsky: So one treatment is a bone marrow transplant, or which also... which is also sometimes called HSCT, hematopoietic stem cell transplant.

418

01:10:51.630 --> 01:11:01.099

Josh Bonkowsky: Again, it's only effective if the disease is cut early enough, and it's, at this point, it's indicated for the late juvenile and adult forms.

419

01:11:01.280 --> 01:11:19.009

Josh Bonkowsky: However, it's a non... bone marrow transplant, it's a non-trivial thing, as you kind of heard. Like, if someone just walked in today, like, if I asked for a bone marrow transplant today, my overall mortality in about a year is about 15%, so it's a big deal to go through a bone marrow transplant.

420

01:11:19.240 --> 01:11:25.079

Josh Bonkowsky: Of course, if you don't go through the bone marrow transplant, then mortality is 100%, so that's why the risk is worthwhile.

421

01:11:26.930 --> 01:11:39.160

Josh Bonkowsky: So, just some caveats about bone marrow transplant. It's only effective if you catch the disease early, that's why newborn screening is so critical, and there's still the risk that there can be some progression of motor symptoms.

422

01:11:39.400 --> 01:11:48.680

Josh Bonkowsky: One issue that we've run into is that there's sometimes not donors available for minority patients, and then the gene therapy still becomes a critical option.

423

01:11:50.440 --> 01:11:54.150

Josh Bonkowsky: Second treatment is, what you've heard about called Arsisel.

424

01:11:54.210 --> 01:12:06.710

Josh Bonkowsky: also call it... the commercial name is Lenmeldi, or, the kind of the generic name is the titular darsogene AutoTem cell, so a little bit of a mouthful there. So, it is a gene therapy.

425

01:12:06.710 --> 01:12:19.909

Josh Bonkowsky: But it's also a form of bone marrow transplant, so it's a little bit confusing. So the way it works is that instead of having a donor, as you have in a standard bone marrow transplant, use

your own, or the patient's own cells to do the transplant.

426

01:12:20.110 --> 01:12:32.009

Josh Bonkowsky: But it's a gene therapy because you use the patient's own cells and infect them, basically, with a virus that supplies the missing gene. And then you take those cells and put them back into the patient.

427

01:12:32.300 --> 01:12:41.899

Josh Bonkowsky: Person still has to go through chemotherapy, they still have to go through the bone marrow transplant process, but it seems to work overall much better than a standard bone marrow transplant process.

428

01:12:42.060 --> 01:12:55.370

Josh Bonkowsky: It is FDA approved. It's, currently available at specialized treatment centers. So, for example, it's available, like, in Minnesota, in Los Angeles, in Salt Lake City, in Boston.

429

01:12:55.370 --> 01:13:03.699

Josh Bonkowsky: I think probably in Philadelphia, so there's kind of some centers scattered across the country that offer this treatment for MLD.

430

01:13:04.630 --> 01:13:14.200

Josh Bonkowsky: And it's indicated for the late infantile and early juvenile subtypes, the, the, gene therapy RCL version.

431

01:13:14.600 --> 01:13:26.130

Josh Bonkowsky: So this is not, it's not for free, unfortunately, so if you just get a bone marrow transplant, it costs about a million dollars, roughly. The RSA cell costs \$4.25 million, so it's quite expensive.

432

01:13:26.580 --> 01:13:34.109

Josh Bonkowsky: There's a very comprehensive review by this group called ICER, the Institute for Clinical and Economic Review.

433

01:13:34.360 --> 01:13:47.209

Josh Bonkowsky: Who determined the health benefit, just at a pure, kind of, financial cost basis to be almost \$4 million. And so you can see that the drug company has very cleverly priced the...

434

01:13:47.400 --> 01:13:51.969

Josh Bonkowsky: The, price just slightly over the, kind of health benefit cost.

435

01:13:53.530 --> 01:13:59.060

Josh Bonkowsky: So this is a little bit complicated, and it's probably more into the weeds than we really need to go into.

436

01:13:59.060 --> 01:14:20.200

Josh Bonkowsky: But it shows the benefits both of transplant on the left, so these slides show both survival of transplanted versus non-transplanted, people with MLD, but also, kind of more importantly, if you're transplanted, it really preserves cognitive function, whereas if you're not transplanted, then you, your cognitive function just declines with age.

437

01:14:20.470 --> 01:14:23.240

Josh Bonkowsky: On the right are,

438

01:14:23.810 --> 01:14:29.959

Josh Bonkowsky: late infantile and early juvenile MLD kids who went through transplant, so first of all, survival is very good.

439

01:14:29.990 --> 01:14:47.529

Josh Bonkowsky: But then really critically, on the right-hand side, you can see that the, cognitive function is just, really spectacular. So it's, so it just shows both survival being very helpful for the transplant and RSSL, and also the outcomes being very good.

440

01:14:49.600 --> 01:15:06.609

Josh Bonkowsky: So, the newborn screening process usually, depending, you know, different labs have different ways they do it, but typically there's kind of a first-tier screening that uses, like, a mass spec assay. Usually, depending on, again, on the individual state lab, it can be added to the existing newborn screening platform.

441

01:15:06.610 --> 01:15:13.389

Josh Bonkowsky: It's looking at the sulfatides, which kind of build up in MLD, and it can be done in the dried blood spot.

442

01:15:13.700 --> 01:15:29.600

Josh Bonkowsky: And then a lot of labs use a second-tier assay where they measure the RSA activity before, and sometimes that's either, like, in parallel or, instead of, kind of timing to send to refer them to a specialist.

443

01:15:31.530 --> 01:15:46.119

Josh Bonkowsky: Once a specialist gets contacted, what would they do? So they would see the infant in the family and clinic, they would do a neurologic and general exam, they would also be arranging a brain MRI, which is usually... an infant would be normal.

444

01:15:46.120 --> 01:15:57.289

Josh Bonkowsky: They'd also do something called an EMG or slash NCB. This is nerve conduction velocity testing. The reason is that MLD affects the peripheral nerves as well, so it's kind of part of the general workup.

445

01:15:58.190 --> 01:16:13.679

Josh Bonkowsky: the person would send the diagnostic confirmatory testing, which would be, for example, whole genome sequencing, the urine sulfatide test, and the leukocyte lysosomal enzyme testing. That's all necessary to kind of confirm the genotype findings on the genome sequencing, and to kind of make sure we understand

446

01:16:13.680 --> 01:16:18.949

Josh Bonkowsky: What age of onset the MLD would be... would have.

447

01:16:19.020 --> 01:16:31.709

Josh Bonkowsky: And then also, it's usually a good idea at that point to talk to the bone marrow transplant team so they can start to prep in case we're going to bone marrow transplant or to, the, gene therapy RSSL treatment.

448

01:16:32.240 --> 01:16:42.479

Josh Bonkowsky: In addition to just treating the patient, there are implications for the family. So, like, if there's other siblings, are they at risk? They're trying to do, what is... what's called cascade testing.

449

01:16:42.530 --> 01:16:58.239

Josh Bonkowsky: And then, importantly, this really has, like, a downstream impact for the family to think about future pregnancies,

because IVF, you can often tell the insurance company, like, would you rather do IVF so the family doesn't have another child affected by MLD?

450

01:16:58.290 --> 01:17:08.729

Josh Bonkowsky: Or would you rather kind of gamble that you will be spending millions of dollars for if another child is affected by MLD? So, we often talk to the families about,

451

01:17:08.840 --> 01:17:11.380

Josh Bonkowsky: Talking to their insurance companies about IVF.

452

01:17:11.790 --> 01:17:28.209

Josh Bonkowsky: So, why this kind of summary? Like, why newborn screening for MLD? So, MLD is treatable, the treatment is effective, and the treatment's only effective if the disease is copied before symptoms, and the most common type are infants who are affected by MLD, the late infantile type.

453

01:17:28.360 --> 01:17:30.130

Josh Bonkowsky: And then,

454

01:17:30.430 --> 01:17:42.550

Josh Bonkowsky: our ability to find and detect patients is not very good, really, because the symptoms are tricky. This is a map showing in the United States where people get diagnosed with MLD and other leukodystrophies.

455

01:17:42.660 --> 01:17:59.760

Josh Bonkowsky: And so, if it's yellow, it means no one was diagnosed there. And it's not because no one lives there, it's because no one... it's because we missed the diagnosis. So basically, it's only if you're kind of lucky, so to speak, and live near a major hospital center that you can get diagnosed, which means we're missing lots of people who should be diagnosed.

456

01:18:01.060 --> 01:18:07.960

Josh Bonkowsky: And, thanks so much for listening to me. Hopefully, I went kind of quick, but I'm happy to take any questions.

457

01:18:13.320 --> 01:18:36.570

Mikaela Miller: I was gonna hop in here, I think we're gonna take a

quick break, and then we're gonna go into the lab discussion, but if you're available, Dr. Bonkowski, we do have a discussion period, I would say in about 30 minutes or so, and it would be great if you're available to stay on for that. I'm sure the committee will have a lot of questions, but if you're not,

458

01:18:36.820 --> 01:18:48.379

Mikaela Miller: yeah, I think maybe we should take a moment here so the committee does have a chance to ask you some questions. Are you able to stay on for that, or do you have another, engagement to get to?

459

01:18:48.510 --> 01:18:52.920

Josh Bonkowski: What time would the restart be? Sorry, I wasn't quite sure.

460

01:18:52.920 --> 01:19:06.210

Mikaela Miller: Well, I'm thinking we'll take a 10-minute break since we're halfway through the meeting, and then our lab presentation we have scheduled for about 20 minutes or so, so I would say the discussion will start in about 30 minutes.

461

01:19:06.870 --> 01:19:10.509

Josh Bonkowski: Sure, that should be... that should work well for me, if that's okay with... for everybody.

462

01:19:10.740 --> 01:19:24.900

Mikaela Miller: Yeah, that would be wonderful. Okay, well, I kind of promised everyone a break about halfway through this meeting, so let's take a quick 10-minute break, refill waters and whatnot. You're welcome to just turn your camera off and then mute yourself.

463

01:19:24.900 --> 01:19:33.900

Mikaela Miller: And then I'll come back and kind of get us all back together, and we'll have Jeannie and Nikki from the Montana State Lab, present.

464

01:29:37.710 --> 01:29:42.360

Mikaela Miller: Alright, everyone, we're kind of right at that 10-minute mark.

465

01:29:44.200 --> 01:29:50.919

Mikaela Miller: Once I see a few more cameras on, I'll go ahead and get us started, so I know a few more of us are here.

466

01:29:54.480 --> 01:29:59.540

Lynne Wood: And I apologize, I won't be able to start my camera up, I've been having technical difficulties. Sorry, guys.

467

01:29:59.610 --> 01:30:06.730

Mikaela Miller: Thank you. Yeah, at least I know you're here, I just didn't want to get started. If I saw cameras off, to me, that means maybe...

468

01:30:08.210 --> 01:30:17.189

Mikaela Miller: Oh, there we go. I see lots of people here. Okay, well, Jeannie and Nikki, do you both, want to go ahead and start?

469

01:30:19.800 --> 01:30:20.840

Jeanne Lee: Yeah.

470

01:30:21.150 --> 01:30:23.959

Jeanne Lee: Do you, have some slides, too?

471

01:30:24.390 --> 01:30:29.270

Mikaela Miller: I do, let me... Share my screen again here.

472

01:30:35.820 --> 01:30:39.400

Jeanne Lee: Alright, so I'm gonna... I'll get started.

473

01:30:39.990 --> 01:30:57.530

Jeanne Lee: So, hi again, everyone. Thank you for coming back, and, thank you for the, presentations, from Dr. Borkowski and, the family presentation from, the Cornell family.

474

01:30:58.100 --> 01:31:17.440

Jeanne Lee: I'm Jeannie Lee, and I supervise newborn screening and serology at the Montana Public Health Laboratory. Before I begin today, I just want to briefly remind you about newborn screening in Montana. We currently have 32 conditions on the panel.

475

01:31:17.440 --> 01:31:23.970

Jeanne Lee: 7 of the conditions are screened right here in the public health laboratory.

476

01:31:23.970 --> 01:31:35.359

Jeanne Lee: And the other 25 conditions are tested at the Wisconsin State Lab of Hygiene, where they can perform mass spectrometry testing inexpensively.

477

01:31:35.530 --> 01:31:43.059

Jeanne Lee: The cost of the newborn screening panel is currently \$161.80.

478

01:31:44.470 --> 01:31:50.579

Jeanne Lee: Today, I will provide you with testing considerations for MLD.

479

01:31:51.050 --> 01:32:09.480

Jeanne Lee: You may remember me telling you, at, during our last nomination that when evaluating whether to take on new tests, there are many factors to consider, such as instrumentation, methodological expertise.

480

01:32:09.580 --> 01:32:13.679

Jeanne Lee: whether the test is FDA approved, and cost.

481

01:32:14.240 --> 01:32:20.520

Jeanne Lee: For MLD, a major concern is the lack of an FDA-approved test.

482

01:32:20.890 --> 01:32:33.169

Jeanne Lee: although Montana Code does not preclude the Advisory Committee from adding the condition when there's not an FDA-approved test.

483

01:32:33.760 --> 01:32:38.849

Jeanne Lee: I just want to touch on what it means when there's no FDA-approved test.

484

01:32:39.330 --> 01:32:51.359

Jeanne Lee: When no FDA-approved test is available, a laboratory must develop its own test, which we call an LDT, or Laboratory Developed

Test.

485

01:32:52.300 --> 01:33:04.159

Jeanne Lee: The difference between LDTs and FDA-approved tests comes down to the verification and validation processes used to implement the assay.

486

01:33:04.460 --> 01:33:10.220

Jeanne Lee: An FDA-approved test has already undergone rigorous studies.

487

01:33:10.530 --> 01:33:22.280

Jeanne Lee: Of screening performance, including false negative and false positive studies, Precision, sensitivity, and reproducibility assays.

488

01:33:22.640 --> 01:33:25.360

Jeanne Lee: Interference studies, and so forth.

489

01:33:25.560 --> 01:33:40.389

Jeanne Lee: With an LDT, all of that needs to be done by the laboratory, which can take an extensive period of time to develop and evaluate, as there is no outside party reviewing the data.

490

01:33:41.520 --> 01:33:48.829

Jeanne Lee: Mae Baker at the Wisconsin State Laboratory of Hygiene is working on an assay for MLD.

491

01:33:49.230 --> 01:34:01.300

Jeanne Lee: She hopes to have it developed by the end of the year, although I do not know what that means as far as her validation studies and when those would be completed.

492

01:34:02.200 --> 01:34:09.529

Jeanne Lee: I also do not know what the cost of screening MLD will be once her assay is validated.

493

01:34:10.000 --> 01:34:17.670

Jeanne Lee: I'll be seeing Mae Baker at the beginning of May, and so I hope I'll be getting some clarification from her then.

494

01:34:18.360 --> 01:34:38.060

Jeanne Lee: I'll now turn over to Nikki Gosin, and she will give you some information about long-term follow... or sorry, short-term follow-up considerations following a positive screen for MLD. And then when she's finished, we're happy to answer any questions that you have.

495

01:34:40.750 --> 01:34:54.420

Nikki Goosen: Yeah, thanks, Jeannie. Yeah, so I'm Nikki Gosin. I do the short-term follow-up for Montana. I work with Jeannie in the laboratory as well, so I do have a laboratory background.

496

01:34:55.080 --> 01:35:12.099

Nikki Goosen: So here in Montana, we have about 11,000 births a year. So after we get a screen-positive result on the newborn screen, my responsibility is to make sure the primary care provider, you know, knows about that result.

497

01:35:12.100 --> 01:35:30.070

Nikki Goosen: knows what the appropriate recommendations are, and depending on the condition, it might be, you know, a consult with one of the specialists around the state, you know, whether that's pediatric endocrinology, one of our... excuse me, metabolic specialists,

498

01:35:30.250 --> 01:35:31.820

Nikki Goosen: Anything like that.

499

01:35:32.320 --> 01:35:41.780

Nikki Goosen: So, for MLD, I just kind of want to briefly go through the short-term follow-up, and what that would entail for Montana babies.

500

01:35:42.310 --> 01:35:48.360

Nikki Goosen: So once we get a positive result, we would immediately inform primary care provider.

501

01:35:48.570 --> 01:36:04.639

Nikki Goosen: We would also get Shodare involved right away. We've got a metabolic team there, and they help assist with, test recommendations, and then helping to, you know, interpret those tests after they come back for primary care providers.

502

01:36:05.340 --> 01:36:20.970

Nikki Goosen: So here in Montana, all of these tests are going to be send-outs, so it's going to be the ARSA leukocyte enzyme assay, urine sulfatides, and then the urine glycosaminoglycans.

503

01:36:21.110 --> 01:36:23.120

Nikki Goosen: Mayo does all of those tests.

504

01:36:23.420 --> 01:36:26.519

Nikki Goosen: It's whole blood specimens, urine specimen.

505

01:36:26.920 --> 01:36:35.720

Nikki Goosen: As for the molecular genetic testing, we're pretty fortunate here in Helena that Chader is literally just down the street from us.

506

01:36:35.920 --> 01:36:45.740

Nikki Goosen: So we can send, you know, a blood slot from that initial screen over to them right away, so they can take care of our molecular testing right away.

507

01:36:46.560 --> 01:36:55.819

Nikki Goosen: Once all of that comes back, you know, depending on those results, we would then get our neurologists involved, pediatric neurology.

508

01:36:56.220 --> 01:37:03.919

Nikki Goosen: And then from that point, you know, I step away, you know, no longer short-term.

509

01:37:04.070 --> 01:37:08.749

Nikki Goosen: And then, you know, they go from there for... You know, further care.

510

01:37:10.210 --> 01:37:16.590

Nikki Goosen: Let's see... yeah, and that... that's about all I have for follow-up in Montana.

511

01:37:22.030 --> 01:37:23.590

Mikaela Miller: Okay, so...

512

01:37:23.610 --> 01:37:31.689

Mikaela Miller: Next, we have the discussion, so I think we're gonna go ahead and just jump right into that. If anyone has questions,

513

01:37:31.690 --> 01:37:49.070

Mikaela Miller: For anyone who presented today, as far as our... for committee members have any questions for anyone who presented today, and then also, please let me know if you need me to jump back in the slides at all, I'm happy to do that. But I will pass it off to Shelly and Jen to go ahead and lead the discussion.

514

01:37:49.530 --> 01:38:07.399

Shelly Eagen: Yeah, great, thank you. So we'll go ahead and open up the discussion. I will go ahead and jump in first. I have a few questions, but I'll just start with one. With there not being an FDA-approved test right now, and there are states that are screening.

515

01:38:07.520 --> 01:38:25.490

Shelly Eagen: And it also was recently added to the RESP, which means there's going to be additional states that have to incorporate this as part of that agreement. Is there any knowledge amongst, the SME, or is there any knowledge about what those states are currently using?

516

01:38:30.130 --> 01:38:40.720

Abe Elias: I can, I can... actually, I can talk a little bit about that, and actually, I have also a question then for Dr. Wonkowski on that, because I think a big part

517

01:38:40.720 --> 01:38:58.050

Abe Elias: of the, you know, of the consideration is really, that MLD is one of the... is the really... one of the most recent additions, and, there is actually very good screening, but the screening is still being worked out, and I... I... so the... the...

518

01:38:58.050 --> 01:39:06.340

Abe Elias: issue with... with the screening is, the metabolic screening, is that you, you know, there are different ways, and I think, Nikki

519

01:39:06.700 --> 01:39:18.569

Abe Elias: in that, in that schematic that she had, there was urine... there was sulfatites, and there was also the ARSA activity. It's, it's really important that, there is a...

520

01:39:18.690 --> 01:39:24.670

Abe Elias: an, a... Good screening for the sulfatides that are

521

01:39:24.700 --> 01:39:41.420

Abe Elias: to measure. So to have that, because one of the issues with the ARSA gene is there are many pseudo-deficiency alleles. In fact, the pseudodeficiency, you know, alleles are probably about 10 times more common than the regular alleles.

522

01:39:41.420 --> 01:39:44.889

Abe Elias: And so, to avoid,

523

01:39:44.890 --> 01:39:58.350

Abe Elias: lots of false positives in the initial screening, and that then would have to sort out with a second tier. You want to really... you really need to, use the testing that is,

524

01:39:58.510 --> 01:40:08.180

Abe Elias: both sensitive and specific, and, and, the, the, the double mass spec, that, that you can use to,

525

01:40:08.440 --> 01:40:13.339

Abe Elias: to, to detect, sulfur tides.

526

01:40:13.400 --> 01:40:22.339

Abe Elias: It's actually quite good, but it's... it's not readily available. It's being developed, because even though there are

527

01:40:22.340 --> 01:40:33.740

Abe Elias: There are now a number of states that have added it. Most of them are actually still in the process of developing it, and so that is really the... in order to do,

528

01:40:33.740 --> 01:40:44.660

Abe Elias: an efficient and, screening, you really have to, have to rely on the, on the, on the accurate and so sensitive and, and specific,

529

01:40:44.740 --> 01:41:01.380

Abe Elias: detection of these metabolites through double mass spec. And I actually wanted to ask, Dr. Bonkowski a little bit if... I'm not sure if you're able to talk about it, when you, you know, when you... in Utah, when you, kind of considered that condition and then decided.

530

01:41:01.380 --> 01:41:21.829

Abe Elias: to, to go, to, to, to implement or to, to, decide it for it. What were kind of the discussions with the public health? I assume that you're... that the public health lab has that set up and, and has it set up so that it can maybe multiplex it. Can you talk a little bit about those concerns or, or discussions you had?

531

01:41:22.760 --> 01:41:36.430

Josh Bonkowski: Yeah, that's a good point. So I don't know the technical details in terms of what the state testing lab is doing, but I think the same concern was about this, pseudo-deficiency problem that could have a high false positive rate, and trying to

532

01:41:36.430 --> 01:41:50.460

Josh Bonkowski: weed those out before it hit the family. What we've done in the past, there's this other condition you're probably familiar with, ALD, adrenal leukodystrophy.

533

01:41:50.580 --> 01:41:52.469

Josh Bonkowski: And,

534

01:41:53.010 --> 01:42:03.890

Josh Bonkowski: What we've done is we've decided to be more... that we'd rather, know about potential patients and have a higher false positive rate, and weed people out.

535

01:42:04.040 --> 01:42:16.739

Josh Bonkowski: afterwards than to miss people. So we've kind of, biased ourselves to that side of the equation, but I think it's a little bit of a judgment call for each state lab, how they want to balance that.

536

01:42:20.920 --> 01:42:22.309

Abe Elias: Thanks, thanks, everyone.

537

01:42:28.040 --> 01:42:29.330

Shelly Eagen: Anybody else?

538

01:42:29.600 --> 01:42:30.490

Shelly Eagen: Go ahead and jump.

539

01:42:31.750 --> 01:42:37.570

Jeanne Lee: I... I guess I was gonna jump in a little bit, too. Shelly. There are some...

540

01:42:37.730 --> 01:42:40.090

Jeanne Lee: Tests that are...

541

01:42:40.200 --> 01:42:55.250

Jeanne Lee: I'm going to be submitted to the FDA. I think maybe towards the end of 2026. I'm aware of, two different companies that are submitting

542

01:42:55.390 --> 01:43:07.529

Jeanne Lee: assays for FDA approval. And I... and I'm not quite sure, like, how long that FDA approval process is for assays.

543

01:43:10.880 --> 01:43:11.639

Shelly Eagen: Alright, thank you.

544

01:43:17.860 --> 01:43:22.310

Shelly Eagen: I have some other questions, if nobody else has questions here.

545

01:43:22.850 --> 01:43:31.420

Shelly Eagen: Let's see... so, one of the... you had talked about needing the RSA activity. I'm curious if that is on the same...

546

01:43:31.570 --> 01:43:45.269

Shelly Eagen: blood spot that's already going to be used, or if we're going to be doing another punch. I know we've talked about real estate in the past, and how much we're using up, so I was curious if that would be using the same blood spot, or if that's another punch card.

547

01:43:53.630 --> 01:43:56.140

Jeanne Lee: Nikki, do you have a feel for that?

548

01:43:56.490 --> 01:44:00.000

Jeanne Lee: ARSA activity, if that was a new draw.

549

01:44:00.160 --> 01:44:11.290

Nikki Goosen: Well, so for me, mine would be more on, like, the diagnostic end for the Mayo send-out, but I think if, if Wisconsin performed that test.

550

01:44:12.620 --> 01:44:18.699

Nikki Goosen: I guess I don't know if it's a mass spec test, or if it's... well, it's enzyme activity.

551

01:44:18.950 --> 01:44:26.920

Nikki Goosen: Yeah, I'm not sure. It just depends. If it was still on the same platform, I imagine, you know, they could use the same punch.

552

01:44:27.080 --> 01:44:30.810

Nikki Goosen: But if it's a different platform, it would require an additional...

553

01:44:31.190 --> 01:44:34.569

Nikki Goosen: additional sample. Well, additional punch, I should say.

554

01:44:35.900 --> 01:44:47.419

Abe Elias: So, you know, I... so, it depends... so part of the difficulty, I think, we have, I think, in this discussion is that there is, that Wisconsin currently is still

555

01:44:47.480 --> 01:44:57.830

Abe Elias: developing, the test. So, there is a possibility for them, if they decide to do so, to, you know, have the initial mass spec, and then

556

01:44:57.830 --> 01:45:08.050

Abe Elias: reflex directly, we have that with some of the other metabolic conditions, biochemical, reflex directly to a second-tier test, which could be ARSA activity.

557

01:45:08.200 --> 01:45:11.640

Abe Elias: so there are...

558

01:45:11.680 --> 01:45:34.849

Abe Elias: That's not always... so, you can develop a mass spec test that is so specific, actually, with the urine sulfatides, that you have a low, you know, a low false positive rate, and then what happens is that they, the initial sulfatides are flagged as abnormal.

559

01:45:34.850 --> 01:45:52.320

Abe Elias: And then what hap... what happens then on the short-term follow-up that Nikki just mentioned is that you would, on a different blood spot, sorry, on a different... on a new blood draw and urine, you basically develop... you detect ARSA activity and the urine sulfatite as a conformation.

560

01:45:52.460 --> 01:46:13.269

Abe Elias: Nikki mentioned that one thing that we would have, we're actually developing this as part of a, of a grant, right now for Pompeii, or we already have validated it for Pompeii and, and, and, XALD is, because we're so, we, what we could do,

561

01:46:13.270 --> 01:46:16.909

Abe Elias: In addition, to really, to...

562

01:46:18.570 --> 01:46:43.530

Abe Elias: to accelerate the process is we can, from a blood spot, we could directly extract DNA and do the molecular testing, rather than... what Nikki just presented there, the molecular testing as a third tier, if you will, that's just a general suggestion that's often done, but where it's possible, including in New York, for example, they do molecular testing early on, and I say

563

01:46:43.530 --> 01:46:50.710

Abe Elias: about molecular testing is that you circumvent the problem of pseudodeficiency alleles. So the genotyping is very important

564

01:46:50.710 --> 01:47:07.100

Abe Elias: in that sulfotides and molecular testing are probably the most important part of that, that aspect. So, so we could, you know, and this could actually be done from a blood spot, meaning that we could have the results within,

565

01:47:07.100 --> 01:47:10.810

Abe Elias: Really, within a few... within a few days. And...

566

01:47:10.820 --> 01:47:29.130

Abe Elias: The... the... again, the problem is... the initial problem is the initial test, the initial screening test, to work that out, and the way how we are set up right now, we're really dependent... be dependent on, a, you know, an efficient test, from... from Wisconsin.

567

01:47:29.550 --> 01:47:34.889

Abe Elias: Jeannie, is that, kind of, from that aspect, would that... is that correct?

568

01:47:37.100 --> 01:47:39.580

Jeanne Lee: Yeah, I think so.

569

01:47:40.100 --> 01:47:47.540

Jeanne Lee: I... yeah, I don't really know what... what she has in the works. When I spoke with her, she just...

570

01:47:47.750 --> 01:48:04.289

Jeanne Lee: She just said, that she was developing an assay, so I, you know, I don't know how complex it is. I, I do think that she was going to try to multiplex it with XALD, so,

571

01:48:05.040 --> 01:48:11.529

Jeanne Lee: So yeah, I just... I hope to learn more from her, in early May, just...

572

01:48:11.840 --> 01:48:31.650

Jeanne Lee: just to get a feel for, what she has planned. I was actually quite surprised that she was already, starting to develop an assay, and, and she's just cute. She says, of course I am, I'm Mae Baker. So, so...

573

01:48:31.970 --> 01:48:36.820

Jeanne Lee: I, you know, she... she's great, and she knows her stuff, so,

574

01:48:36.960 --> 01:48:41.469

Jeanne Lee: hopefully... hopefully I'll learn some more here next month.

575

01:48:45.020 --> 01:48:49.229

Shelly Eagen: I echo that. Mae Baker is wonderful. I worked with her when I was in Wisconsin.

576

01:48:51.150 --> 01:48:53.580

Shelly Eagen: Anybody else have questions?

577

01:48:54.360 --> 01:49:13.690

Lynne Wood: Sorry that I don't have my raise hand feature, I know I'm supposed to use it, but, just to make sure that I understand what everyone's been saying so far, our biggest challenge would be that right now, an FDA-approved or, like, standardized measurement doesn't necessarily exist. There have been places that have worked around it.

578

01:49:13.700 --> 01:49:21.339

Lynne Wood: Some of the things that have been proposed have been waiting to see, how Wisconsin designs this new test.

579

01:49:21.340 --> 01:49:33.800

Lynne Wood: It sounds like maybe waiting to see what happens with some of these FDA-approved tests coming at the end of the year, or Abe, if I understood you correctly, it sounds like you're maybe proposing that rather than doing the...

580

01:49:33.800 --> 01:49:45.210

Lynne Wood: initial screening with the ARSA, and then getting the urine sulfatides, like, was outlined in some of the, stuff we've seen, going straight to molecular testing.

581

01:49:45.810 --> 01:50:02.990

Abe Elias: No, not exactly, Lynn. So the initial screening would still be dependent on the sulfatides. That's a, that's actually a very good test, but it's a tricky test. It's not a... it's, because you really have to, the, the, the, the,

582

01:50:03.350 --> 01:50:21.050

Abe Elias: the diagnostic or screening, you know, window is narrow, so it's not easy to develop, and as I mentioned, there are really only a few labs who have started doing that, so you can't necessarily, you know, depend on a

583

01:50:21.100 --> 01:50:28.490

Abe Elias: on a broad community, basically. But what I was mentioning is that the second-year testing

584

01:50:28.490 --> 01:50:52.500

Abe Elias: the molecular testing, actually bringing that in earlier, rather than as a third tier, is actually a very, I think, very good choice, because that together... because that takes care for many of the questions of the pseudodeficiency alleles. Not as a screening, really, but both as a confirmation, but also, to... because the ARSA activity

585

01:50:52.710 --> 01:51:10.290

Abe Elias: If it's positive, the one thing that you cannot exclude is the possibility of pseudodeficiency alleles there. But, but again, if the screening is well done, if the screening of using the sulfatides is,

586

01:51:10.320 --> 01:51:24.109

Abe Elias: is well done. You actually... the pseudodeficiencies are not a big problem. It's not like, because you can... the... the range is, or it's specific enough to...

587

01:51:24.110 --> 01:51:34.239

Abe Elias: really reduce that, that otherwise what you could expect, a high, you know, a high, false positive rate. But you still need to, need to address it.

588

01:51:35.000 --> 01:51:49.369

Lynne Wood: So, it sounds like it's more still using the sulfatides, but then rather than the molecular testing being step 3 in the algorithm, it's just step 2, and we don't worry about the ARSA to figure out pseudodeficiency alleles and things like that.

589

01:51:50.250 --> 01:52:00.420

Abe Elias: I would use the ALSA, you know, the ALSA as a second-tier testing, also, and urine sulfatides, but, you wouldn't have to rely only on that, so you have that... Okay.

590

01:52:00.420 --> 01:52:15.970

Abe Elias: testing, potentially also earlier, because you wouldn't have to wait for another blood draw. You know, as you know, in Montana, sometimes, you know, the patients, they live very far, they live remotely, the, the

591

01:52:16.820 --> 01:52:17.910

Abe Elias: the,

592

01:52:18.060 --> 01:52:27.909

Abe Elias: The blood draw and then sending it to the lab is sometimes a challenge, so that the molecular testing would circumvent that.

593

01:52:28.230 --> 01:52:34.700

Lynne Wood: Yeah, that makes sense, thank you. So, it's not that we're taking away a step, we're just merging step 2 and step 3.

594

01:52:40.680 --> 01:52:42.779

Lynne Wood: And...

595

01:52:46.600 --> 01:52:48.030

Jennifer Banna: Lynn, go ahead and finish.

596

01:52:48.690 --> 01:52:52.359

Lynne Wood: Oh, I actually had another question, so you should go ahead, I saw your hand up.

597

01:52:52.360 --> 01:52:52.850

Jennifer Banna: Oh, you're.

598

01:52:52.850 --> 01:52:53.979

Lynne Wood: We're actually following the rules.

599

01:52:54.040 --> 01:52:55.860

Jennifer Banna: Well, I...

600

01:52:56.450 --> 01:53:10.459

Jennifer Banna: That's funny. I'm not known to be a real follower. I... so I had a follow-up question. We talk about sometimes the newborn screening and late onset, and which things are on the newborn screen. I just wanted, clarification.

601

01:53:10.520 --> 01:53:21.820

Jennifer Banna: Dr. Bonkowski, about that. I couldn't tell if you were

saying that the ones that are caught on the newborn screen could also be late onset or early onset, or if we're only catching a certain group.

602

01:53:23.800 --> 01:53:40.949

Josh Bonkowsky: Yeah, that's kind of confusing. The, the most common kind is late infantile, which is the one that would... which would be... so, let me say it again. All the kinds would be picked up, but late infantile is the most common kind, so it'd be the one that we see the most often.

603

01:53:42.070 --> 01:53:57.480

Josh Bonkowsky: And then, even for the ones that have later onset, they, we try and take them to transplant before they become symptomatic, so there's, like, a little bit of, like, trying to figure out what that... when is that exactly.

604

01:53:57.480 --> 01:54:07.489

Jennifer Banna: Okay, so it's different than some of the other late-onset things that happen that are on newborn screen now, where it's not caught on the newborn screen, and then there's a later onset

605

01:54:07.530 --> 01:54:20.899

Jennifer Banna: I'm sorry, am I saying that clearly? There's some things we screen for now that we've had people talk about that they had later onset, but the testing that's done on newborns doesn't catch the later onset conditions. In this case, we might say to some families, your child

606

01:54:20.970 --> 01:54:27.320

Jennifer Banna: is... doesn't have the Motel or whatever, but you're gonna have to get on this, because later it's gonna be a problem. Is that... did I get it?

607

01:54:27.430 --> 01:54:30.069

Josh Bonkowsky: Yeah, that's a good, way to say it.

608

01:54:30.070 --> 01:54:31.070

Jennifer Banna: Thank you.

609

01:54:36.260 --> 01:54:38.659

Shelly Eagen: Lynn, did you want to ask your other question?

610

01:54:39.160 --> 01:54:40.320

Shelly Eagen: Oh, art.

611

01:54:41.710 --> 01:54:47.290

Lynne Wood: Sure, I don't know that this is the time to ask it, though. It might be that I'm supposed to ask it later in the meeting, but...

612

01:54:49.060 --> 01:55:05.940

Lynne Wood: it seems like there's some pretty compelling data, and it's been added to the RASP for, you know, screening for MLD, but it sounds like the infrastructure and technology isn't quite as fleshed out as some of the other, conditions we've seen brought to the committee.

613

01:55:05.950 --> 01:55:09.140

Lynne Wood: Are we ever able to, like.

614

01:55:09.850 --> 01:55:16.910

Lynne Wood: Pause, get more information about, like, what technologies are going to be available, and then...

615

01:55:17.180 --> 01:55:23.960

Lynne Wood: make our decision? I mean, it could be that by the time we vote at the next meeting, we have all this information, but I almost...

616

01:55:25.670 --> 01:55:28.859

Lynne Wood: you know, I think the questions that often come up

617

01:55:29.160 --> 01:55:40.100

Lynne Wood: have been, like, well, gosh, the real estate question, the how much is it gonna cost, the families question, and it sounds like we don't have those pieces of information just yet.

618

01:55:48.750 --> 01:55:56.900

Josh Bonkowsky: Well, not to step in where I don't know anything, but, the... I guess the newborn screening testing side, I'd have to defer to...

619

01:55:56.930 --> 01:56:10.519

Josh Bonkowsky: the lab experts, because I certainly don't understand the technical complexities there, but I think once the, once a kit is handed to us, I think that pathway is really clear, so I think, it's... I think it's more just is the,

620

01:56:10.550 --> 01:56:14.259

Josh Bonkowsky: Newborn screen testing component fleshed out enough.

621

01:56:15.680 --> 01:56:16.889

Lynne Wood: Yeah, exactly.

622

01:56:22.490 --> 01:56:24.640

Shelly Eagen: Dr. Elias, go ahead.

623

01:56:27.030 --> 01:56:45.080

Abe Elias: I just have a follow-up to Dr. Bonkowski from earlier Jen's question about, you know, the different types of MLD, and Dr. Bonkowski, in terms of, you know, I think you made the point, and that seems to be really that for the gene therapy.

624

01:56:45.080 --> 01:56:50.630

Abe Elias: It's really important to have pre-symptomatic. Are there...

625

01:56:50.650 --> 01:56:52.970

Abe Elias: Are there spec... are there,

626

01:56:53.490 --> 01:57:05.010

Abe Elias: criteria that are... specific criteria that can be followed, and... and who... who makes that decision, basically? How... how does... how does that workflow once you have

627

01:57:05.030 --> 01:57:17.530

Abe Elias: Actually, I'm independent now, I'm not from... only from the newborn screening, and I'm asking because, you know, I think what the Connell family earlier just, described.

628

01:57:17.530 --> 01:57:40.999

Abe Elias: really that heartbreaking, long, you know, diagnostic odyssey that they experienced, you know, it was in 2014. Today, that likely would be different somewhat, because we do, even with non-specific neurodevelopmental, you know, manifestations, we do early,

whole genome, whole exome sequencing, and usually have diagnosis, very quickly.

629

01:57:41.000 --> 01:57:44.160

Abe Elias: However, I think you made the point that

630

01:57:44.220 --> 01:57:53.039

Abe Elias: that even then, it might be already too late. So I guess, are there, specific criteria, to...

631

01:57:53.170 --> 01:57:54.589

Abe Elias: Kind of determine that.

632

01:57:56.090 --> 01:57:58.040

Josh Bonkowsky: Yeah, so the,

633

01:57:58.210 --> 01:58:17.769

Josh Bonkowsky: the things... so the, the approval to use RCCL, the gene therapy in the late infidel and early juvenile subtypes is pretty tightly regulated in that they have to have a normal neurologic exam by a pediatric neurologist,

634

01:58:17.770 --> 01:58:23.899

Josh Bonkowsky: and they can't have MRI involvement, and...

635

01:58:24.420 --> 01:58:44.330

Josh Bonkowsky: And so that... and so then... and that's kind of regulated because of both the insurance companies don't want to pay for something that's too late to be effective, and then I think, I mean, not to speak on Orchard's behalf, the company that makes the RSSL, but because of their FDA approval, they've been quite,

636

01:58:44.450 --> 01:58:46.630

Josh Bonkowsky: You know, conscientious about

637

01:58:46.750 --> 01:58:51.819

Josh Bonkowsky: The same process, just making sure that they're not giving to people for whom it's too late to be effective.

638

01:58:52.430 --> 01:58:57.930

Josh Bonkowsky: Does that answer your question? Just making sure I'm not going on.

639

01:58:58.110 --> 01:59:09.279

Abe Elias: Yeah, so it seems like that... so really no MRI involvement, and so if you have MRI involvement, you would not qualify for the RSSL.

640

01:59:10.040 --> 01:59:12.169

Josh Bonkowsky: For the late infantile subtype, yeah.

641

01:59:12.170 --> 01:59:13.909

Abe Elias: delete infertile, yeah. Okay.

642

01:59:23.560 --> 01:59:24.740

Shelly Eagen: Nikki, go ahead.

643

01:59:25.700 --> 01:59:41.019

Nikki Goosen: Yeah, I just wanted to kind of speak to, the real estate issue for spots. We recently did just change our, collection cards for Montana. We went from 5 spots to 8 spots.

644

01:59:41.290 --> 01:59:44.909

Nikki Goosen: So we do have those additional 3 spots.

645

01:59:46.140 --> 01:59:54.360

Nikki Goosen: We don't always get 8 quality spots, but now, you know, we are collecting more specimen on those babies.

646

01:59:57.030 --> 01:59:58.050

Shelly Eagen: Thank you.

647

01:59:58.050 --> 01:59:58.700

Nikki Goosen: Yeah.

648

01:59:59.120 --> 01:59:59.850

Shelly Eagen: Jen?

649

02:00:00.400 --> 02:00:05.159

Jennifer Banna: I just wanted to follow up on, what Dr. Wood was saying.

650

02:00:05.440 --> 02:00:16.979

Jennifer Banna: about the labs being ready or not ready, because I'm not sure we've had this situation before, where we were like, well, what will happen if we recommend it? But it takes so long to go through the process, and then also the governor

651

02:00:17.130 --> 02:00:35.750

Jennifer Banna: can not recommend it, because we're just making a recommendation to him, so I'm interested in what other... how other people in the committee feel about not knowing exactly how that testing is going to go, and... and voting anyway, and letting that work itself out, or if it seems important, because I can kind of see both pieces.

652

02:00:50.630 --> 02:00:59.060

Jeanne Lee: I... so, I guess maybe to try to... Add.

653

02:01:00.350 --> 02:01:01.780

Jeanne Lee: Add more to that.

654

02:01:02.250 --> 02:01:09.070

Jeanne Lee: Jen, is I... I think that's kind of why...

655

02:01:09.840 --> 02:01:17.449

Jeanne Lee: You are the advisory committee, that you are having to make these difficult decisions.

656

02:01:17.790 --> 02:01:27.270

Jeanne Lee: And, and... and the director is going to, take your recommendation.

657

02:01:27.600 --> 02:01:40.710

Jeanne Lee: So, and really, you know, Dr. Wood, this kind of fits into your question, too. You know, if there are too many questions,

658

02:01:41.190 --> 02:01:42.440

Jeanne Lee: you know...

659

02:01:43.210 --> 02:01:51.759

Jeanne Lee: We can do things like have another meeting to, you know, get more information, before you make a decision.

660

02:01:52.030 --> 02:02:02.700

Jeanne Lee: But really, it's up to the advisory committee to ask all the questions that you have,

661

02:02:02.990 --> 02:02:05.549

Jeanne Lee: You know, how's the,

662

02:02:06.760 --> 02:02:14.759

Jeanne Lee: You know, have our group try to find those answers for you, so you... so you can make those

663

02:02:15.090 --> 02:02:16.340

Jeanne Lee: decisions.

664

02:02:24.190 --> 02:02:25.459

Shelly Eagen: Jacqueline, go ahead.

665

02:02:26.850 --> 02:02:31.469

Jacqueline Isaly: Yeah, so I'm not a voting member, but just to kind of clarify.

666

02:02:31.850 --> 02:02:50.279

Jacqueline Isaly: When we write the recommendation letters to the director, we do include all the details and information about, like, what this will do to our testing process, and what that will look like. So, if we don't know all of those details, we would then have to explain that

667

02:02:50.320 --> 02:02:53.880

Jacqueline Isaly: That that is the current case for this one, and so that...

668

02:02:53.910 --> 02:03:12.919

Jacqueline Isaly: would be potentially, you know, taken into consideration when then the director's office is considering the recommendation for approval or not approving. So that is just to

clarify, that's kind of part of what... the information that we, include on the recommendation.

669

02:03:15.660 --> 02:03:29.040

Lynne Wood: How... and it sounds like the meeting with May Baker is coming up pretty soon. It sounds like FDA approval for some of the more standardized newborn screening tests that might be more nationally available are coming in a couple of months.

670

02:03:29.040 --> 02:03:36.399

Lynne Wood: do you guys have any estimates for, like, how soon we'll have that information? I guess I want to try and thread the needle where, like.

671

02:03:36.600 --> 02:03:48.909

Lynne Wood: you know, I feel like the argument for screening is quite compelling, but if we're gonna recommend it, we also need to have a good plan for, like, well, okay, what does this mean for the rest of the screen? You know, how do we factor this in?

672

02:03:48.910 --> 02:03:58.119

Lynne Wood: if other things come down the pipeline, and then, like, how is it going to roll out? Because it sounds like if we get the newborn screening process right.

673

02:03:58.120 --> 02:04:09.360

Lynne Wood: the actual treatment, if we do it early, would be relatively streamlined at one of these big centers. How soon do you think we'll know some of these things, in a way that

674

02:04:09.510 --> 02:04:14.499

Lynne Wood: Would inform the director and the people who accept our recommendations.

675

02:04:15.550 --> 02:04:16.460

Lynne Wood: She's gone.

676

02:04:25.820 --> 02:04:30.399

Jeanne Lee: I, you know, I... Those are good questions.

677

02:04:30.540 --> 02:04:49.289

Jeanne Lee: Dr. Wood, and I, you know, I'm hoping that we'll learn more over the next month or so. As far as, like, her assay... as Mae Baker's assay development, I mean, I don't really know

678

02:04:49.470 --> 02:04:59.890

Jeanne Lee: Where she is in that process, nor what it means for when screening, like, an implementation date.

679

02:05:00.010 --> 02:05:08.269

Jeanne Lee: So, so hopefully we'll get some of those answers,

680

02:05:08.440 --> 02:05:11.490

Jeanne Lee: You know, over the next couple of months.

681

02:05:13.610 --> 02:05:22.369

Jeanne Lee: You know, and there's many other states that are in, you know, this same situation. Like, when a condition is added to the rust.

682

02:05:22.580 --> 02:05:31.410

Jeanne Lee: There are some states that automatically have to align to the RUSP, and so, you know, that puts them in a position

683

02:05:31.570 --> 02:05:37.810

Jeanne Lee: Where they either have to figure out how to, develop an assay.

684

02:05:38.030 --> 02:05:43.950

Jeanne Lee: Or, wait for, an assay to do... to be developed.

685

02:05:44.150 --> 02:05:55.989

Jeanne Lee: I... I don't... I, I think that can take years, and, I, I do feel like...

686

02:05:56.160 --> 02:05:59.329

Jeanne Lee: You know, if...

687

02:05:59.560 --> 02:06:08.640

Jeanne Lee: If a condition's added to the panel, it, you know, it doesn't... it doesn't mean you get to start screening at 6 months from

when you

688

02:06:09.110 --> 02:06:20.009

Jeanne Lee: vote it. And I... you guys have seen that already. You know, the rulemaking process takes time, you know, assay development takes time.

689

02:06:20.110 --> 02:06:29.830

Jeanne Lee: So... so it may, you know, it may take a couple of years, for... or 18 months.

690

02:06:30.290 --> 02:06:33.209

Jeanne Lee: To actually begin screening.

691

02:06:34.840 --> 02:06:47.509

Lynne Wood: And then a follow-up, and then I promise I will stop monopolizing everybody's time. Let's say if we, like, game it out both ways. Scenario one, we recommend before we...

692

02:06:47.620 --> 02:06:56.129

Lynne Wood: have a really clear path of, like, here's how we're going to screen, here is what it will cost, here is where it will go on the spot.

693

02:06:56.470 --> 02:07:12.549

Lynne Wood: if we get turned down, I could see if... or foresee a scenario where, hey, it means now MLD ends up back of the line, and some of these other conditions that are in line maybe get approved sooner, and actually screening for MLD gets set back even further. Maybe not. You know, maybe if we've...

694

02:07:12.730 --> 02:07:25.370

Lynne Wood: applied, it gets turned down, and then this technology comes along. Maybe that ends up being a fast track, and we don't actually lose that much time in rolling the screening out. Scenario two, we...

695

02:07:25.370 --> 02:07:40.900

Lynne Wood: wait until we have a little bit of this information, potentially, like, within a couple of months. I think generally when we were voting on these, conditions after a meeting like this, it's generally at that next quarterly meeting anyway, to say, hey, like.

696

02:07:40.900 --> 02:07:52.749

Lynne Wood: is this okay? You know, maybe we hold... gather that information then when we're all together, and then can kind of hold the vote once we have more info, and then would also foreseeably

697

02:07:52.750 --> 02:07:57.459

Lynne Wood: have more practical, logistical information to give the director.

698

02:07:57.970 --> 02:07:59.740

Lynne Wood: So, knowing that we're sort of...

699

02:07:59.900 --> 02:08:10.459

Lynne Wood: delaying things on the front end in hopes that it gets less delayed on the back end, if that makes sense. Do you think that's an accurate way of thinking about it, or do you think I have that wrong?

700

02:08:17.880 --> 02:08:26.820

Jeanne Lee: I think that you are thinking about it, in the right way with those two scenarios.

701

02:08:27.160 --> 02:08:37.320

Jeanne Lee: I, we'll... we'll just have to see. I... I don't have an easy answer for you today.

702

02:08:42.670 --> 02:08:59.019

Lynne Wood: It looks like there's something in the chat that, the advisory committee can schedule, like, other meetings and things like that, so it sounds like maybe if there was hot-off-the-presses news, even in between the quarterly meetings, we might be able to hear back on it. But it sounds like

703

02:08:59.280 --> 02:09:10.670

Lynne Wood: I guess my impression is that we'll just kind of have to go with our gut as far as, like, do we gamble on recommending now, or do we wait? And we don't have a lot of information to guide us.

704

02:09:13.740 --> 02:09:15.379

Shelly Eagen: Dr. Bromkowski, go ahead.

705

02:09:16.670 --> 02:09:25.910

Josh Bonkowsky: I guess, since several states are screening already, I guess, it seems like we should have fairly good confidence that it's,

706

02:09:26.100 --> 02:09:32.260

Josh Bonkowsky: technically doable, is that... does that seem accurate, or...

707

02:09:33.820 --> 02:09:51.079

Lynne Wood: I think technically doable, yes, but how we do it here, I think, is the question. You know, for example, I think we only do 7 of our own screens here, and we send the rest out to Wisconsin. I think if we were to have to, like, parse out the newborn screens to yet a third state, I mean, maybe that's more doable than it seems.

708

02:09:51.080 --> 02:09:56.720

Lynne Wood: But it might be a bit of a... a lift, as far as our health infrastructure.

709

02:10:00.250 --> 02:10:08.440

Jeanne Lee: And I guess I'd add to that, Dr. Wood, that that may increase the cost of the screen even more.

710

02:10:08.540 --> 02:10:16.520

Jeanne Lee: If... if you were... if we were looking at shipping a blood spot to a third state,

711

02:10:17.620 --> 02:10:22.320

Jeanne Lee: That... that could be a pretty significant increase in cost.

712

02:10:28.300 --> 02:10:29.410

Shelly Eagen: Dr. Elias?

713

02:10:33.010 --> 02:10:51.129

Abe Elias: Yeah, just to follow up on this, I mean, I think, as Dr. Bokovsky said, it's technically feasible, and, it just has to be developed, and one thing is that the screening is really the, based on, on a mass spec technology, so,

714

02:10:53.220 --> 02:11:08.870

Abe Elias: that's where, we really are dependent, on a lab that does that, and Wisconsin does a wonderful job in certainly in doing that. It's just, they're... they currently don't have that test on their menu,

715

02:11:09.140 --> 02:11:25.680

Abe Elias: It certainly might be. I think it would be interesting to see, Ginny, when you meet with May, just to kind of see where they are. One thing never to forget is that if a lab decides to develop a test, you know, they usually,

716

02:11:26.440 --> 02:11:36.189

Abe Elias: have a timeline, a projected timeline. That timeline can change, however, because there might be unexpected, you know, unexpected,

717

02:11:36.190 --> 02:11:51.489

Abe Elias: outcomes of the preliminary validation, and so on. So, there's just some uncertainty, I think, there. One thing I actually wanted to ask, Dr. Momkowski is just to... has Utah started to screen now? Have you already started screening?

718

02:11:52.200 --> 02:11:55.509

Josh Bonkowsky: No, I think, they're setting up the...

719

02:11:56.150 --> 02:12:09.739

Josh Bonkowsky: they're both setting up the essays, and then also we have to go through a, legislative, budget approval process, and that only happens once a year, so it's, like, can't happen again until January of 2027.

720

02:12:13.360 --> 02:12:14.400

Abe Elias: Weird things.

721

02:12:18.760 --> 02:12:31.420

Shelly Eagen: I just would clarification... want a clarification from Dr. Brunkowski on one thing. You had talked about approximately 2 to 6 months having that narrow timeframe before patients are deemed to be too affected.

722

02:12:31.420 --> 02:12:40.819

Shelly Eagen: And you made mention of... is it based purely on MRI

findings, or is there other things that go into play there to say that somebody's too advanced or too affected?

723

02:12:41.290 --> 02:12:49.410

Josh Bonkowsky: It's also, that, in that time period, patients start to become symptomatic, on a neurologic exam, and so.

724

02:12:49.570 --> 02:13:02.810

Josh Bonkowsky: Especially with a late infantile, it's really quite fast, like, in the first... like, they might seem totally normal if you saw them at 2 months of age, but by the time they're, like, 4 or 5 months of age, they might already, like.

725

02:13:03.300 --> 02:13:04.980

Josh Bonkowsky: I have findings on exam.

726

02:13:09.250 --> 02:13:17.909

Shelly Eagen: So I guess, like, is it based on the severity of symptoms, or the number of symptoms, or that plus MRI findings, or...

727

02:13:18.450 --> 02:13:26.029

Josh Bonkowsky: So, anything, any involvements puts you into the disqualification... disqualification category.

728

02:13:26.030 --> 02:13:27.350

Shelly Eagen: Okay, thank you.

729

02:13:33.420 --> 02:13:35.489

Shelly Eagen: Any additional questions?

730

02:13:36.640 --> 02:13:38.060

Shelly Eagen: our discussions.

731

02:13:39.930 --> 02:13:40.880

Shelly Eagen: Nikki?

732

02:13:42.920 --> 02:13:50.280

Nikki Goosen: Yeah, this... Dr. Wood, I think you maybe would be able to answer this question, or Dr. Bunkowski,

733

02:13:50.760 --> 02:14:04.270

Nikki Goosen: So, for Pompei disease, one of the things we kind of ran into for the diagnostic workup, was the challenge of getting a pediatric cardiologist involved right away for the echo, and the EKG.

734

02:14:04.540 --> 02:14:05.770

Nikki Goosen: for...

735

02:14:06.050 --> 02:14:19.960

Nikki Goosen: for this condition, to get an MRI done, would that need to be, you know, specifically at, you know, a facility, you know, with you, or is that something they could get done, you know.

736

02:14:20.020 --> 02:14:26.150

Nikki Goosen: anywhere in Montana, and then, you know, you could look at it, you know, gosh.

737

02:14:26.320 --> 02:14:29.930

Nikki Goosen: You know, from... from Billings, if that makes sense.

738

02:14:29.930 --> 02:14:53.250

Lynne Wood: Yeah, I think there are MRI machines throughout the state. I imagine the reader's comfort at looking at the white matter in infants might vary. I know, like, you know, Kalispell, Missoula, Bozeman, here, we have neuroradiologists that are sort of dedicated to just brain scans, and they're pretty accustomed to looking at pediatric scans.

739

02:14:53.250 --> 02:15:04.789

Lynne Wood: the nice thing about radiology is, even if you get it somewhere outside, as long as it's a good quality scan, you can generally get an over-read, and people can review it after the fact. So, I think the MRI

740

02:15:04.790 --> 02:15:09.819

Lynne Wood: Wouldn't be too big a barrier, as far as a screen here.

741

02:15:11.020 --> 02:15:12.899

Nikki Goosen: Like, thank you.

742

02:15:20.540 --> 02:15:21.750

Shelly Eagen: Anything else?

743

02:15:21.910 --> 02:15:24.010

Shelly Eagen: Michaela, how are we doing on time?

744

02:15:27.020 --> 02:15:40.529

Mikaela Miller: We're actually slightly ahead of schedule, so, there's plenty of time here. I normally kind of try to shut this off around 11.40 for public comment, so, we have, like, another

745

02:15:40.760 --> 02:15:46.060

Mikaela Miller: several minutes here. If anyone has anything else, don't feel like we're running over by any means.

746

02:15:48.840 --> 02:16:05.689

Lynne Wood: I guess the only other thing I would add is maybe more of a proposal, like, you know, maybe as we're considering this, as soon as our, colleagues with the lab and everybody have a little bit more information about the actual screen itself, maybe sharing that with the committee.

747

02:16:05.690 --> 02:16:21.049

Lynne Wood: I feel like if there's, if maybe we've hit all three of the points that we were asking about, as far as, like, how would we get it done, like, what would it mean for, like, a send-out, what would it cost to families? Like, if we have all that well before the next meeting, it might be good to know, and then if..

748

02:16:21.050 --> 02:16:34.240

Lynne Wood: you know, we do want to do an interim meeting. I know that's really tough, getting this many people together in a room, but, especially if it's something where, hey, we might be able to keep a vote on schedule

749

02:16:34.540 --> 02:16:39.500

Lynne Wood: by doing an interim follow-up? Would that be something people are open to?

750

02:16:45.240 --> 02:16:50.009

Shelly Eagen: I would be open to a shorter, meeting in the interim if we have additional.

751

02:16:50.510 --> 02:16:54.100

Shelly Eagen: Information that we're able to gather between now and the vote in the fall.

752

02:16:55.400 --> 02:16:56.480

Jennifer Banna: I would too.

753

02:16:57.480 --> 02:16:58.129

kathy Crowley: Sure.

754

02:17:13.150 --> 02:17:20.200

Shelly Eagen: We'll pause here and let anybody else jump in that has questions or wants to have follow-up discussion about.

755

02:17:30.570 --> 02:17:31.809

Shelly Eagen: Dr. Elias?

756

02:17:32.639 --> 02:17:49.589

Abe Elias: Yeah, question I... maybe for Dr. Bakowsky, but I realize you might not be able to answer this, but have you had discussions with the Public Health Lab in terms of what the costs, additional costs would be for Utah, and do you have a little bit of an idea what that,

757

02:17:49.959 --> 02:17:55.049

Abe Elias: And also maybe the timeline of the development, and so on.

758

02:17:56.059 --> 02:18:04.989

Josh Bonkowsky: I'm not totally sure. I think it was, like, an incremental cost of \$5 to \$10 per blood spot, but I could be making that up,

759

02:18:05.409 --> 02:18:10.649

Josh Bonkowsky: And... It seemed like they were intending to...

760

02:18:10.989 --> 02:18:18.369

Josh Bonkowsky: Be testing, like, doing internal testing by this fall, and then...

761

02:18:18.559 --> 02:18:22.729

Josh Bonkowsky: Try and roll out in 2027, once they had gone through the legislative approval process.

762

02:18:25.950 --> 02:18:30.589

Abe Elias: And did you say they are planning to, multiplex it with the XALD?

763

02:18:31.150 --> 02:18:36.279

Josh Bonkowsky: I know they're gonna multiplex it, I couldn't tell you exactly. It's some... something they do in the lab, I don't know...

764

02:18:38.920 --> 02:18:39.950

Abe Elias: Thanks.

765

02:19:07.799 --> 02:19:10.929

Shelly Eagen: Well, thanks, everybody, for the discussion.

766

02:19:11.769 --> 02:19:13.639

Shelly Eagen: Is there anything else?

767

02:19:29.389 --> 02:19:32.089

Shelly Eagen: Alright, that does not look like it.

768

02:19:38.529 --> 02:19:49.769

Shelly Eagen: I just want to say thank you again, Dr. Bronkowski, for joining us and being available to help answer our questions and aid in that discussion. Michaela, are we able to go to public comment early?

769

02:19:51.000 --> 02:20:00.089

Mikaela Miller: Yeah, definitely. I do see we have several members of the public here already, so, we can definitely roll into that.

770

02:20:02.080 --> 02:20:12.219

Mikaela Miller: I'm gonna pull up this slide here again, just to remind everyone how that works. So please raise your hand if you would like to make a comment.

771

02:20:12.620 --> 02:20:30.129

Mikaela Miller: I will call on you and give you permission to come off

of mute. Stephanie is going to go ahead and, start a timer, and we'll give you, like, a mini 45-second warning, but we do want to try to stick to that, because I believe we have a few of you here who may like to share.

772

02:20:30.240 --> 02:20:33.310

Mikaela Miller: So yeah, let's go ahead and start.

773

02:20:43.820 --> 02:20:48.189

Mikaela Miller: If anyone from the public would like to say anything, feel free to raise your hand.

774

02:20:59.930 --> 02:21:00.960

Mikaela Miller: Okay.

775

02:21:01.470 --> 02:21:03.440

Mikaela Miller: Let's see, Sushila.

776

02:21:03.720 --> 02:21:04.730

Mikaela Miller: Go ahead.

777

02:21:04.730 --> 02:21:23.070

Susheela Jayaraman: Yeah, thanks so much. Hi, everyone. My name is Sushila Jayaraman. I'm the Associate Director of Diagnostics and Newborn Screening at Orchard Therapeutics. Really great discussion, I really enjoyed listening in to everyone's comments and the presentations, and I just wanted to add a couple of notes. So, as of today.

778

02:21:23.070 --> 02:21:40.709

Susheela Jayaraman: New York, Pennsylvania, and Illinois are all live with their screening, and a couple of additional states are, actively validating and will be live by the end of the year. And then, as you guys have all mentioned, there are a handful of RESPA-lined states that are at various stages of their implementation.

779

02:21:40.710 --> 02:21:46.299

Susheela Jayaraman: As well as a number of states that have it added, or recommended via their advisory committee.

780

02:21:46.350 --> 02:21:54.889

Susheela Jayaraman: And I also wanted to just note that our gene therapy product has been approved in Europe since 2020.

781

02:21:54.890 --> 02:22:12.440

Susheela Jayaraman: So there's a lot of data about the performance of the newborn screening algorithm, as has been recommended by an international consensus group out of Europe. So, Norway has been screening, nationwide for over a year now, and have, their data,

782

02:22:12.440 --> 02:22:31.470

Susheela Jayaraman: was presented recently at the World Symposium, and then there are two ongoing prospective pilots in Germany and Austria. They've published their first 100,000 screens in the New England Journal, and I believe another publication is, in the works for about 300,000 screens that they've performed.

783

02:22:31.630 --> 02:22:37.049

Susheela Jayaraman: through their, through their pilot study. So, just wanted to flag that, though the U.S. is,

784

02:22:37.360 --> 02:22:45.960

Susheela Jayaraman: in kind of the early stages, a lot of work has been done in Europe, and a lot of data coming out of there, so thanks so much. I'll wrap up there.

785

02:22:50.840 --> 02:22:51.730

Mikaela Miller: Thank you.

786

02:22:56.450 --> 02:23:00.570

Mikaela Miller: Would anyone else from the public like to take a moment to share anything?

787

02:23:15.940 --> 02:23:17.130

Mikaela Miller: Okay.

788

02:23:17.890 --> 02:23:29.990

Mikaela Miller: Well, if anything else does come up, I've got an email on the screen here. You're welcome to submit any additional comments to this email, and we will make sure the committee receives those.

789

02:23:30.090 --> 02:23:32.309

Mikaela Miller: Up to 1 hour after the meeting.

790

02:23:36.260 --> 02:23:55.349

Mikaela Miller: I'm gonna roll into next steps here, so we are going to have all of the follow-up materials posted on our website, and I also will email those individually to all of the committee members, so that includes any notes, recordings, etc.

791

02:23:55.350 --> 02:24:12.780

Mikaela Miller: We also, as you heard, have more meetings coming up here, so, normally we have these meetings twice a year, which means the vote for this condition will be held sometime likely in October, is usually when we have the best responses.

792

02:24:12.830 --> 02:24:24.369

Mikaela Miller: But as mentioned earlier, we're happy to hold an additional, potentially shorter meeting if any new information arises. So I just ask if anyone here

793

02:24:24.540 --> 02:24:45.250

Mikaela Miller: has any new information, please feel free to email the website, or me, or Stephanie, and we can make sure to pass all that information off to the committee as well, just so we can all stay on the same page and keep everyone as informed as possible as kind of things arise here before October.

794

02:24:45.250 --> 02:24:47.990

Mikaela Miller: We do have other conditions...

795

02:24:48.070 --> 02:25:02.339

Mikaela Miller: That have been nominated as well, so our October meeting will also likely be this again for a new condition with an SME and a family presenter, along with that MLD vote. So it will be another, kind of, about 3-hour meeting.

796

02:25:05.470 --> 02:25:23.910

Mikaela Miller: And... we go to the next slide here. So, contact information once again, but I just want to say thank you all so much for being here. I'm going to pass it to Shelly, if you'd like to go ahead and wrap it up. I know we're a little early, but I know you all also probably have

797

02:25:24.150 --> 02:25:27.630

Mikaela Miller: Other responsibilities to get to today?

798

02:25:27.820 --> 02:25:41.500

Shelly Eagen: Yeah, so, first of all, thank you again to Sarah and Cora for joining us and sharing their story, and also to Dr. Bonkowski for joining us and providing us all of the information. A huge welcome again to Rose.

799

02:25:41.500 --> 02:25:54.559

Shelly Eagen: We appreciate you being on, even though it's a very inconvenient time. And then, also a big thank you to Shaunaliyah, for your contributions to the committee, and for everybody who was able to join today.

800

02:25:58.180 --> 02:26:04.829

Shelly Eagen: The meeting survey is in the chat, so please click on that to complete that as well.

801

02:26:08.140 --> 02:26:08.860

Mikaela Miller: Yes.

802

02:26:10.370 --> 02:26:12.129

Mikaela Miller: Alright, thank you, everyone.

803

02:26:14.840 --> 02:26:16.250

Mikaela Miller: Have a good day.

804

02:26:16.420 --> 02:26:18.190

Abe Elias: Yeah, you too. Bye-bye.

805

02:26:19.720 --> 02:26:20.470

Abe Elias: Bye, everyone.

806

02:26:21.100 --> 02:26:22.030

Lynne Wood: See ya!

807

02:26:29.560 --> 02:26:32.959

Mikaela Miller: And Stephanie, I'm gonna go ahead and stop the recording as well.

